

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23

PROCEEDINGS
NIOSH/NPPTL PUBLIC MEETING

- - -

Transcript of Proceedings at the
NIOSH/NPPTL Public Meeting held at the Hilton Garden
Inn, Pittsburgh/Southpointe, Canonsburg,
Pennsylvania, commencing at 9:00 a.m. On Tuesday,
May 4, 2004

- - -

ORIGINAL

P R O C E E D I N G S

- - -

1
2
3 MR. SZALAJDA: I'm now calling the
4 meeting to order at 9:10. Thank you for your
5 attendance and participation this morning. We're
6 looking forward to having a good session and sharing
7 with you some of the ideas and concepts that we're
8 considering for the CBRN powered air-purifying
9 respirator.

10 I have a couple general announcements
11 with regard to some of the administrative details
12 here within the hotel.

13 The restrooms are back towards the main
14 entrance where you came in. They're on the
15 left-hand side before you get to the lobby. The
16 hotel asked if anyone needs transportation to the
17 Airport, there is a South Hills Carriage that
18 provides shuttle service to the airport, and I have
19 the phone number for arranging that type of
20 details.

21 We're going to have a morning break and
22 an afternoon break and there will be condiments in
23 the back of the room. Lunch will be on your own.

1 The hotel is going to set up a
2 concession stand out here in the lobby on the wall,
3 on this one wall between the two windows, where
4 you'll be able to buy lunch. There's also a
5 Jackson's Restaurant in the hotel as well as a
6 Subway and a Chinese buffet that are out in the
7 parking lot.

8 Also, please remember to complete your
9 meeting evaluation form and turn it in outside the
10 doors when the meeting is complete today.

11 And there are also several handouts that
12 are available in the back of the room, including the
13 most recent Rand report on protecting emergency
14 responders.

15 And so with that, I'd like to start our
16 presentations for today. Our first speaker is going
17 to be the laboratory director, Rich Metzler.

18 MR. METZLER: Good morning, ladies and
19 gentlemen, partners in working with NIOSH to improve
20 occupational safety and health. Thank you for being
21 here at our public meeting today on powered
22 air-purifying respirator standards.

23 My remarks will be brief. I just want

1 to be able to welcome everyone to this meeting.

2 A brief background, NIOSH has been
3 working with its partners, DHS most recently, the
4 National Institute of Standards Technology, OSHA,
5 SBCCOM, now RDECOM, and many others who have been
6 supporting the process to develop standards for CBRN
7 respiratory protection.

8 We've been doing this through a public
9 process where we post our concepts for standards on
10 our website and follow that with welcoming comments
11 to the public docket, reviewing those comments,
12 taking them into consideration, and then adjusting
13 the concepts and then following that with a public
14 meeting to give everyone an opportunity to provide
15 your insights as to how to improve these standards.

16 So far standards for self-contained
17 breathing apparatus have been completed in January
18 2002. SCBA for traditional equipment, an upgrade
19 program was implemented in March 2003.
20 Air-purifying gas mask standards were implemented in
21 March 2003. And escape sets for both air-purifying
22 and closed circuit were implemented in October
23 2003.

1 And as you know, we're in the process of
2 developing PAPR standards. And that will be
3 followed with an integrated self-contained breathing
4 apparatus/PAPR combination and a self-contained
5 breathing apparatus air-purifying respirator, and so
6 on.

7 A brief update on those who hold
8 approvals looks very good now in the area of CBRN
9 self-contained breathing apparatus. Essentially
10 every major manufacturer of self-contained breathing
11 apparatus now holds at least one approval. And, as
12 you can see here, some hold many approvals.

13 Scott Health & Safety and MSA also have
14 upgrade approvals for their traditional equipment to
15 bring the equipment in the field up to CBRN status.

16 The list of the approved equipment can
17 be found at our website through CDC and NIOSH and
18 NPPTL's website and you'll find it easily there.

19 The approvals have been granted to two
20 manufacturers on full facepiece air-purifying CBRN
21 respirators. And the PAPR concept, as you know, has
22 been in process now for approximately a year, with
23 concept papers posted last September and October

1 time frame at a public meeting.

2 This is a truly exciting time. Those of
3 you who work in this business every day, and I'm
4 sure it includes almost all of you or you wouldn't
5 be here, have to realize that the technical
6 challenges, the standards, the new tests that are
7 going to be developed to support this program are
8 going to be felt for at least the next three or four
9 decades. Once these standards are established, it
10 will be a long time before they're changed.

11 The innovation of these concepts will
12 have a substantial impact on the performance of
13 PAPRs for decades to come. We're very excited about
14 implementing these standards and then seeing the
15 effect of these standards implemented in industrial
16 equipment along the way.

17 So I encourage you to be proactive in
18 going to the microphone and letting being us know
19 what you think. Follow up with your scientific and
20 detailed comments to the docket. The team that
21 we've assembled goes through those comments,
22 compiles them, evaluates them, and then implements
23 them in new versions of the concept.

1 As you know, we went to public meeting
2 in October. In my layman's terms, I would say it
3 was almost booted out of the place. You gave us a
4 lot of good comments to change our original concepts
5 and our original thinking. And I think, you know,
6 we have a much better concept today, and I think one
7 that can be further improved.

8 We intend to take comments from this
9 meeting and those that we receive from the docket
10 following this meeting to further improve the
11 standard.

12 I want to recognize our partners because
13 it seems like as though up till this part I haven't
14 really expressed my appreciation in great enough
15 detail.

16 The process was started in 1999 in early
17 partnership with SBCCOM, now RDECOM, and NIST, in
18 doing a number of very important things it's been
19 felt in the country over the past few years.

20 For one, our early meeting in March of
21 1999 where Bill Haskell and other folks attended
22 from the Army, I know in a hotel room we started
23 laying out the concepts for the interagency board,

1 writing the charter for the IAB organization, which
2 has now gone on from DOD and DOJ, and now also a
3 partner with DHS has identified a number of
4 important standards areas for first-responder need
5 to protect them against terrorism.

6 That was a very important activity which
7 has now blossomed into a major very effective
8 interagency board with joint partnership in many
9 federal organizations and responder organizations.

10 NIST, through the Department of --
11 Department of Justice through NIST started the early
12 funding in this program and has continued supporting
13 us now with funds coming through from DHS to NIOSH.
14 It's through these quality partnerships that
15 respiratory protection is going to be improved.

16 And I'm leaving a number of very
17 important stakeholders off of this list. These are
18 the federal folks and the NFPA standards
19 organization who directly work in developing the
20 standards or functionally support the process. But
21 the International Association of Firefighters, the
22 International Association of Fire Chiefs, the
23 International Safety Equipment Association all have

1 been primary supporters of the standards and process
2 to make these improvements happen.

3 Quality partnerships enhance safety and
4 health. At the national laboratory, every project
5 that we develop that is a research effort or a
6 standards development effort starts with identifying
7 who the partners will be in developing the
8 technology or standards and implementing those. And
9 then we work closely with them throughout the entire
10 process. We believe quality partnerships enhance
11 safety and health.

12 On a personal note, I would say in
13 observing the team's performance in putting together
14 the presentations and the standards, where they are
15 today, I am impressed that this is one of the best
16 public meeting opportunities that we have.

17 The technical challenges related to
18 PAPRs cover almost every aspect of that technology
19 from how to test batteries under load, for high
20 demand, for moderate demand, so that a range of
21 emergency responders can have the appropriate
22 equipment whether you're a first receiver at a
23 hospital or a responder at a major structural

1 collapse scene.

2 Flow rates, how to test filters, how to
3 assure the balance in the manifold system, all of
4 these, as you'll see in the presentations today, are
5 quite technically challenging issues. And I'm
6 extremely impressed at the comments that have been
7 coming into the docket and the manner in which the
8 team has been analyzing, responding, and then
9 building them into the standards.

10 Your contributions are going to be very
11 important for coming up with the best standard that
12 we can to protect responders. But keep in mind that
13 the standards that we create here will probably set
14 the stage for the next 30 to 40 years.

15 Thank you very much.

16 MR. BERRYANN: Good morning everyone.
17 Welcome. Glad to see so many people coming to the
18 meeting. We are looking forward to your input, your
19 comments, your suggestions.

20 And just to make a comment on Rich's
21 statement about the last public meeting, hopefully
22 there will be fewer boos today.

23 I'm Roland BerryAnn. I'm the chief of

1 the respirator branch for those of you who don't
2 know me. Or even for those of you who do know me.

3 The first thing is the agenda. And
4 everybody should have gotten an agenda in their
5 packet when they came in. And if you didn't, you
6 should go out to the table out in the front and get
7 a packet.

8 We've got the agenda we hope in a
9 logical developmental fashion where basically we're
10 going to start out with an overview of the
11 development of the concept thus far; and then look
12 at breathing performance and high flow rate studies
13 that we're considering in the development; and
14 looking at various canister requirements; battery
15 requirements; then the human factors requirements
16 on the system; durability testing; the chemical
17 warfare agent; and protection factors tests.

18 And then we're going to have a
19 presentation to give an update on our I'll say
20 companion chemical warfare agent simulant study.

21 And then after our presentations, anyone
22 in attendance who wishes to give a presentation. We
23 have one person who has signed up to give a

1 presentation thus far. If anybody else wishes to,
2 there's a sign-up sheet outside at the table. And
3 then we'll have an open comment period and hopefully
4 get you out of here on time today.

5 Just some quick rundown on the
6 logistics. For those of you who have been here
7 before at our meetings, it's the same story. For
8 those new, I'll keep it simple. There are sign-in
9 sheets outside. We'd like everybody to sign in for
10 a record of attendance.

11 The meeting is being recorded by a court
12 reporter. There will be a verbatim written
13 transcript that will be put in place in the docket
14 if anybody wants a transcript of today's
15 proceedings.

16 We're going to try and follow the agenda
17 as closely as possible. And after each presentation
18 there will be a brief question-and-answer period.
19 And if you do have any comments or questions, please
20 step up to the microphone in the center of the room
21 there and identify yourself with your name and your
22 affiliation.

23 And again, if anybody wishes to make a

1 presentation who hasn't signed up, you can sign up
2 at the registration desk, or, you know, if you
3 decide at that point in the program that you wish
4 to, you're allowed to step up to the microphone and
5 give an extemporaneous presentation as well.

6 Docket information, again, this
7 information for submitting comments to the docket is
8 in the information packet.

9 And I guess at this point, you know, at
10 the end of the second presentation, I just want to
11 confirm that the -- you know, this is a public
12 meeting to discuss our concepts on the CBRN powered
13 air-purifying respirator standard. And we're going
14 to keep the subject content on that topic area. And
15 hopefully we'll be able to exchange a lot of
16 beneficial ideas today.

17 Thank you.

18 MR. SZALAJDA: Okay. I think a lot of
19 the people in the room already know me, but if you
20 don't, I'm Jon Szalajda. I'm with the policy and
21 standards development team at the National Personal
22 Protective Technology Lab.

23 And historically when we've gone into

1 public meetings we usually spend a few minutes
2 talking about why we're developing unique standards
3 for CBRN, chemical, biological, radiological,
4 nuclear threats.

5 I think over time, we've come and we've
6 generally cut back on the amount of information
7 that's provided in each of these sessions because of
8 the familiarity that the manufacturers and other
9 stakeholders are getting with the process.

10 But I think just as a refresher for
11 everybody, that you know why we had to -- why the
12 approach was taken to developing a unique set of
13 standards to meet a CBRN threat is -- probably a
14 short review is in order.

15 When we looked at, when we conducted the
16 initial vulnerability assessment and looked at the
17 types of respirators that were available to address
18 the protection needs associated with that threat, we
19 found that neither the existing NIOSH industrial
20 standards nor the military standards completely met
21 the protection needs for dealing with a CBRN event.

22 And there were a few reasons. Not to go
23 into any detail, but in general, the purpose behind

1 each of the standards was one main factor. The
2 industrial, the NIOSH industrial standards were
3 geared around development towards a controlled,
4 modified type of event where the chemical or the
5 other material that required protection for was
6 identified and regulated to some extent that the
7 protection for the respirator was easily
8 identified.

9 The military developed respirator
10 requirements around threats in areas that were
11 identified as what the potential enemy could deploy
12 in a battlefield situation.

13 Also, user populations for respirators
14 are very different. You're looking at relatively
15 well-trained, well-fit individuals in the Armed
16 Forces that would be using the respirators. There's
17 more diverse population with industrial users as far
18 as height, weight and some of the other demographics
19 associated with the work force.

20 Also, and I think a key here was the
21 hazards associated with how a terrorist may deploy a
22 CBRN type of weapon that, you know, we could be
23 potentially looking at scenarios, indoor scenarios

1 where the higher concentrations may be maintained
2 over a longer period of time versus, you know, a
3 military scenario where chemical warfare agents
4 could be deployed in the battlefield, or an
5 industrial situation where the hazards were
6 quantified.

7 So there were some distinct differences
8 between the industrial and the military standards,
9 which led to development of a special class, the
10 CBRN respirator standards.

11 And with all of our projects, in
12 articulating what we want to do to the stakeholder
13 community, we've identified a goal. And for the
14 PAPR it was to address CBRN materials identified as
15 inhalation hazards and possibly terrorist hazards
16 for emergency responders.

17 And I think to some extent that that
18 goal has expanded a little bit. When you consider
19 how PAPRs are used in the work force, that in a lot
20 of hospital or health-care type professions, that
21 PAPRs are used because they are a very comfortable
22 respirator for the user to wear.

23 And part of our definition of responders

1 in this instance has included the first receivers,
2 health-care workers, that may receive victims of a
3 terrorist event.

4 In review, this is the process that
5 we've been following in developing of all our
6 standards. I think those who have been with us from
7 the beginning have seen that we are pretty true to
8 how we address the development of the requirements
9 that you see in the concept papers.

10 I think one of the things that I wanted
11 to touch on briefly here today because of the
12 importance and the relevance to the PAPR concept is
13 in the bullet E where we said identify test
14 requirements, we also added for the purposes of this
15 discussion at A, research.

16 And maybe one thing that hasn't always
17 been covered in a lot of detail with the information
18 that we've relayed to the community in these types
19 of settings. There's a significant amount of
20 research that goes on behind the scenes, whether
21 it's done by NIOSH, RDECOM or one of our other
22 partners, in terms of how information is generated
23 and considered in terms of developing the

1 requirements of the standards.

2 And I think with the PAPR, and we laugh
3 internally because we always say that the current
4 one that we're working on is the most difficult
5 standard today. But I think in the case of the
6 PAPR, this is really true, that we've learned more
7 with regard to our concepts as we've gone along.

8 But with the PAPR being such a dynamic
9 system and with the breadth of technologies that
10 could be considered and applied to providing powered
11 air-purifying respirator techniques, that there's a
12 significant amount of research that needs to be done
13 as we move forward in maturing the concept.

14 And I think that's where today's public
15 meeting is also a little unique with regard to how
16 we'd like to share our thoughts and in turn receive
17 your thoughts on the concept.

18 You know, in other public meetings when
19 we've come forward, we've had a pretty good idea of
20 what we felt the requirements should be and the
21 standard; and in general, that we worked our
22 research around identifying and confirming the
23 requirements that are identified in the concept

1 paper and made mid-course corrections based on
2 comments that we've received from the stakeholders
3 whether or not we were meeting their objectives.

4 With the PAPER, at this point, we're
5 pretty much wide open to any conceptual requirements
6 and any expertise that the stakeholders may have
7 with trying to address how we quantify and identify
8 the requirements associated with this system.

9 There's a lot of technology gaps that we
10 need to fill over the next several months as we move
11 forward. And what you're going to hear during the
12 course of discussion this morning and this afternoon
13 are some of the approaches that we're taking to fill
14 those gaps.

15 You know, however, I think in order to
16 get the best possible product as we move forward,
17 this is where we really need the input of the
18 stakeholders community, both on the user side as
19 well as the manufacturing side, on how we best
20 address those technology needs and identify
21 performance-based requirements that, you know, meet
22 the community's needs for powered air-purifying
23 respirator protection as well as encompass the

1 benefits of technology that the manufacturers can
2 bring forward.

3 And if you're familiar with our process
4 in terms of standards development, we are pretty
5 consistent with three tiers of requirements for
6 identifying the requirements for the standards. In
7 general, where possible, we try to use existing
8 standards. And that's a point that you'll continue
9 to hear, that you've heard in the past and you'll
10 continue to hear today.

11 In part, we look at the requirements in
12 the 42 CFR in the Federal Register for respirators.
13 We also look at using other standards that are
14 either national or international to identify
15 particular tests or particular requirements that may
16 be appropriate to the type of PAPR that -- or the
17 type of respirator that we're working on.

18 And then also the last here is identify
19 special CBRN unique requirements, new requirements.

20 As we go through -- I'm not going to
21 spend a lot of time on any one of these charts
22 because you're going to hear more detail about this
23 during the course of the presentation today. But

1 when you look at the requirements in 42 CFR, there
2 are a lot of general requirements that will
3 translate from the Federal Register into the PAPR
4 standard.

5 When we look at the various subparts,
6 you'll see things as far as general provisions,
7 quality assurance, how to make an application,
8 things about the application process. Those
9 traditional ways of how NIOSH has done business will
10 continue through the certification of a CBRN PAPR.

11 Then we look at, and if you go through,
12 and I think my presentation tracks what you would
13 see in the concept paper, as we move through the
14 evolution of the concepts, we look at requirements
15 that we feel are based in whole or in part in
16 existing standards, whether they be national
17 standards like ASTM or ANSI or international
18 standards like the EN requirements.

19 But in going through, we had initially
20 conceptualized the need for identifying certain
21 requirements that manufacturers will need to meet as
22 part of the certification approval process, things
23 like markings, you know, effective markings and

1 labelings for the system that are readily
2 understandable that the user can relate to and use
3 as part of his operation of the equipment; things
4 like low-flow and low-pressure indicators as part of
5 the system to warn the user of the potential end of
6 the operational time for the, that particular
7 system.

8 Also we're looking, with regard to
9 breathing performance, we're looking at a couple
10 different work rates, which I think you've seen in
11 the last few concept papers; a moderate work rate as
12 well as a higher-pressure-demand-type work rate.

13 And with that we're looking at the
14 incorporation of ensuring that the system operates
15 in a positive mode, that the system doesn't go
16 negative in the operation.

17 And this is really a dynamic approach
18 for us in looking at the standards and in trying to
19 conceptualize and identify requirements based on
20 work rate. It's a little different with regard to
21 how we've approached the, conceptualizing the
22 requirements in the past.

23 I think another key thing along with

1 looking at the breathing performance that will be
2 discussed is that, you know, we tried to identify
3 existing test equipment that can be used with regard
4 to the certification process. And in the
5 presentation you'll hear in a little bit, we'll talk
6 about some of the test technology issues associated
7 with the evaluation of breathing performance.

8 Some of the other concepts that you've
9 seen in the past with the gas mask program and with
10 the escape standard that's carried forward because
11 of we feel a durability need for the type of
12 equipment that a responder or receiver would use
13 would be things like the field of view; other
14 factors associated with visual haze and luminous
15 transmission; also being able to operate in low
16 temperature and fogging characteristics; things
17 along the lines of being able to communicate while
18 wearing the respirator; and also other requirements
19 for carbon dioxide, hydration, and noise levels that
20 you may see with the systems.

21 And then the last here of our process
22 addresses special CBRN requirements. One of the
23 things that has been important, and we've used the

1 term panic demand in the past, you'll also see it
2 called crisis provision, is how do we assure
3 protection in instances where there may be a very
4 high physiological demand by the respirator wearer
5 in ensuring that they can be protected in cases of
6 high physiological demand, high breathing rates in
7 conjunction with the potential for maybe seeing an
8 embedded threat of a higher concentration and
9 providing an additional capacity with the canister
10 to ensure the protection of the user.

11 We're also carrying forward with two
12 tests that we've established early on in procedure,
13 that a chemical warfare agent penetration and
14 permeation test and the laboratory respiratory
15 protection level testing that are done with our --
16 by our partners at RDECOM and Edgewood Chemical
17 Biological Center.

18 Another aspect that was developed as
19 part of the escape standards, and we think there's
20 some merit for carrying it forward with the PAPR
21 given the potential complexities of the system, is a
22 practical performance criteria as part of the
23 concept which would be evaluated during the LRPL to

1 ensure that the respirator system can be
2 functionally used by a potential user.

3 As Rich and Roland have stated earlier,
4 the intent behind these public meetings is to bring
5 you our thoughts and our concepts and have an open
6 discussion with regard to our ideas as well as
7 soliciting your ideas and your technical or
8 operational inputs with regard to the system.

9 You know, and to that extent, you know,
10 we've had public meetings. We've also had
11 individual meetings. And we'll continue to have
12 individual meetings with stakeholders as well as
13 manufacturers to try to get the benefit of
14 evolutions in technology as well as the thought
15 processes for how stakeholders may use the equipment
16 and technology evolutions that the manufacturers may
17 see that can be brought forward.

18 We will continue the use the concept
19 paper in putting out on the website how our thought
20 process is going for developing the standard. At
21 this point probably the next concept paper you would
22 see following the close of the docket for comments
23 based on this public meeting, which would be after

1 June 4th of this year.

2 One of the things I wanted to spend a
3 couple minutes about, as we've received questions in
4 the past that manufacturers and others have provided
5 input to the docket, and from some individuals'
6 perspective it's sort of a black hole that things go
7 in and nothing apparently comes out.

8 And I want to leave you with the thought
9 that that's certainly not the case. And we really
10 value the comments that we get in through the docket
11 because it gives us insights with regard to maturity
12 of technology as well as other factors to consider
13 with the development of the standards.

14 This is our second probably of three
15 public meetings that we'll be having regarding the
16 PAPR. And today we've received ten formal
17 submissions to the docket from various stakeholders
18 in the process that we've considered in the
19 development of the requirements.

20 Also there have been numerous meetings
21 between stakeholders and manufacturers that have
22 also gone into our thought process for the
23 development of the concepts.

1 And one of the things that I wanted to
2 state that what we've tried to do with the docket is
3 capture the spirit of rule-making without the detail
4 and the restrictions associated with rule-making;
5 that when we have gone through and developed other
6 standards and will continue to do so with the PAPER
7 standard, that when we get comments, we develop an
8 internal technical rationale associated with the
9 selection of the requirements.

10 And where we receive specific comments
11 regarding the requirements, the conceptual
12 requirements of the standard, we address that as
13 part of our technical rationale.

14 And basically it's, I think if you're
15 familiar with the rule-making process, it's the
16 preamble. We generate an internal preamble based on
17 which we call a rationale document that addresses
18 the basis for why we selected the various
19 requirements of the concept and eventually become
20 the standard.

21 And one of the things that we're going
22 to be looking at doing over the next couple of
23 months is to determine how to make our resolution of

1 docket comments more visible to the community. And
2 traditionally you could go back to the docket office
3 and get information by requesting the docket office
4 for, you know, the submittals to the docket.

5 But one of the things we're evaluating
6 is how to make this process more visible through the
7 use of our website and the PAPR concept page to
8 allow the stakeholders to go in and see what the
9 different comments have been to the conceptual
10 requirements; and then what we felt about them,
11 whether we accepted them, accepted them in part, or
12 felt that they weren't pertinent to the process at
13 this time.

14 And with the packet that you received
15 today when you signed in, we've put together a
16 summary by topic of the comments that we received to
17 date on the PAPR. And these are the topics that
18 have been addressed by the stakeholders that we've
19 gone through in looking at the conceptual
20 requirements. And I think you'll appreciate there's
21 been a lot of interest in a variety of potential
22 components and considerations for the PAPR.

23 And just to give you a couple samples of

1 how we've addressed them, and I'd welcome your
2 comments later on today after you've a chance to go
3 through the information, what we've tried to do is
4 we've paraphrased the comments just for the purposes
5 of getting it into a presentation and also to
6 capture it succinctly as we paraphrased the comments
7 that we've received from the community.

8 And in your packet you'll see that if
9 you have italicized comments, this indicates areas
10 where we're still doing active research. And when
11 you look at airflow, obviously I think this is one
12 of the scenarios where we still continue to do
13 active research.

14 If you see areas like this, with this
15 comment regarding decontamination and maintenance,
16 if you see the regular print, that generally means
17 that we're fairly comfortable with the requirements
18 as they're currently identified. And unless we
19 receive additional information or see other
20 information that would cause us to change our mind,
21 these are not being actively looked at.

22 And so with that, I'd like to move along
23 with the agenda, unless there are any general

1 questions regarding the concept paper.

2 (No response.)

3 MR. SZALAJDA: Our first presenter is
4 going to be Terry Thornton from the laboratory.
5 He's going to address breathing performance
6 requirements.

7 MR. THORNTON: Good morning. My name is
8 Terry Thornton. I'm the chemist that worked on the
9 policy and standards development.

10 I'm going to go through the first
11 presentation here, which is the breathing
12 performance, and Jon just spoke about this a little
13 bit. If you look in the concept paper of 1 April,
14 this is paragraph 5.4 that covers the breathing
15 performance.

16 As we saw in one of our comments, we
17 talked about different operational technologies.
18 Since we're using this concept in the breathing
19 performance, we're actually looking at two different
20 types of PAPRs: Constant flow or pressure demand.

21 And in both of those, we're looking at
22 breathing performance, either a moderate breathing
23 performance or high breathing performance.

1 When the manufacturer comes in for the
2 application, a couple things they're going to
3 specify. One is right now the operational battery
4 life of the PAPR. And that's going to be an
5 important number that we use.

6 You see we have a question mark there
7 for minimum life of four hours. This is something
8 we're actively looking at right now to determine
9 whether four hours is an appropriate minimum service
10 life. All we're going to have is a minimum service
11 life. The manufacturer will be able to come in with
12 a life longer than that, six, eight, 12 hours.

13 The other thing is a flow rate or
14 pressure. And you're going to have to tell us what
15 the pressure or the flow rate is that activates the
16 low-flow indicator. The low-flow indicator's going
17 to be covered a little bit later in the
18 presentation. But we'll need to know what that
19 number is, whether it is a rate or whether is it a
20 pressure that activates that.

21 For breathing performance for a moderate
22 breathing rate, we're going to use the breathing
23 machine that's specified in 42 CFR. And that one's

1 been in there for quite a while. Most people should
2 be very familiar with that.

3 That's a breathing machine that operates
4 at 24 respirations a minute and a minute volume of
5 40 liters per minute. It has a peak volume in there
6 of 115 liters per minute.

7 For a high breathing rate, we're going
8 to use the breathing machine specified NFPA 1981,
9 the 2002 edition. Right now in the concept paper I
10 think that's all we specify is that NFPA standard.

11 What we will be doing later in the next
12 concept paper is you'll see more detail on the
13 description of the breathing machine, which will
14 include a lung breathing waveform.

15 That breathing machine operates at 30
16 respirations per minute, delivering a minute volume
17 of 103 liters per minute. And it has a peak volume
18 of 300 liters per minute.

19 So what are the requirements we're going
20 to be looking for? We're going to take the PAPR,
21 put it on a mannequin, hook it up to the breathing
22 machine, and we're going to run it for those
23 operational battery life, whatever that time is that

1 we're evaluating it for.

2 And during that time, during that
3 operational battery life, we're going to look for
4 pressures inside the facepiece, probably right at
5 the nose. It will be inside the nose cup area.
6 Greater than zero, less than three and a half inches
7 of water column pressure. Obviously greater than
8 zero. We want positive pressure in there all the
9 time. You don't want pressure too high.

10 That will be performed for the
11 operational battery life plus 20 minutes. 20
12 minutes is a safety factor that we put in there for
13 testing evaluation.

14 That's kind of everything that's covered
15 in your concept paper right now as of 1 April. We
16 think there's some more that we need to do to this.
17 This is a place where we're developing, as Jon had
18 pointed out, we're really developing some more
19 standards for it.

20 So some additional performance
21 considerations. Obviously we're looking at a load
22 test. We feel like we need to do some type of load
23 testing where we run the PAPR with a load on it.

1 And load tests, there's a lot of discussion that
2 goes on about how to load-test any kind of filters.

3 Right now we use in NIOSH a silica dust
4 chamber. Put it in there, it's exposed to silica
5 dust. It loads up the filter and we monitor. What
6 we'd like to do is go ahead and possibly use that
7 silica dust if it's appropriate.

8 The other place we're looking at is
9 looking into different load values; in other words,
10 a different way to do it besides the silica dust.
11 And we can either have a set load value or we can
12 create a gradual load over time. And that's what
13 silica dust does, you put it in unloaded, expose the
14 silica dust, it gradually builds up over time.

15 We can also evaluate that possibly by
16 just putting a load on the filter at one time. So
17 the amount of load and the loading rate is still
18 being investigated.

19 Obviously if we're running at a minimum
20 service life for four hours without a load, with a
21 load test, that service life is going to change
22 some. So we're again looking at what we can use for
23 a minimal operational battery life with a load. So

1 we're needing information without a load and with a
2 load.

3 And really again what we're going to set
4 is a minimum. The higher limit could be set by the
5 manufacturer, six, eight, 12 hours.

6 Equipment that's going to be used for
7 breathing performance, pretty simple in a
8 laboratory. We just need the breathing machine for
9 the moderate and the high performances, pressure
10 transducers and collection of data.

11 If we look at operational battery life
12 as being six, eight, 12 hours, we're going to have
13 to really investigate how we're going to collect
14 that data over that amount of time. If it's 12
15 hours plus 20 minutes, hopefully they don't have me
16 in the lab for that long. It's a pretty long time.

17 So what really information are we
18 looking for and we need help in? First, any kind of
19 studies that we have for an instantaneous load
20 versus a gradual loading, how we could do that.

21 The second is a total load on the
22 filter. Right now we can look at our silica dust
23 chamber and we can evaluate to some extent on what

1 the load is that goes on for silica dust over time.
2 We have that capability.

3 But we're looking for any more
4 information out there that someone has about what
5 the resistance does when the loading goes on the
6 filter.

7 And third, the rate of loading. If we
8 load these all at one time, we'll just have a set
9 number. But if we do some type of loading over
10 time, we need to see how fast we're going to load
11 that filter, what the rate will be.

12 So really these are the three areas that
13 we're actively looking into, how to solve the
14 problem to set this breathing performance.

15 Any questions?

16 Yes?

17 MR. NIEMEIER: (Inaudible.)

18 UNIDENTIFIED: Could you go to the mike?

19 MR. NIEMEIER: Sure.

20 We haven't discussed this issue -- Rick
21 Niemeier with NIOSH in Cincinnati.

22 We haven't discussed this issue in the
23 peer-review group, but curious why you're using

1 silica as the test material because of its known
2 toxic effects and why something like ferric oxide or
3 magnesium silicate or aluminum silicate wasn't used
4 instead.

5 MR. THORNTON: You know, I'm really not
6 sure of the answer to that. The silica dust chamber
7 has been around I think in the standard for quite a
8 few years. And it has been used -- it does have
9 some toxic, but it's still used in the laboratory
10 pretty safely.

11 And that's again why we're asking this
12 information. There could be a different way to load
13 that filter.

14 MR. NIEMEIER: You know, I realize it's
15 sort of a standard now. But it seems to me that in
16 order to protect manufacturers in the testing
17 facilities, I would go to a much less toxic
18 material, especially with the emphasis now that
19 we're trying to eliminate silica exposure.

20 MR. SZALAJDA: Yeah, that's a good
21 comment, Rick. And I think one of the things, you
22 know, we are someone -- we do want to be sensitive
23 to the silica dust for the health concerns.

1 I think another issue longer term that
2 we've heard with regard to the certification program
3 has been the difficulty of manufacturers being able
4 to replicate this test.

5 So we're very open in soliciting input
6 from the community with ideas for alternate ways to
7 do this and not use that protocol.

8 MR. THORNTON: Also alternate ideas.
9 Not even just another chemical that could be used,
10 but some other approaches.

11 We're open to any consideration of
12 different approaches of just restricting that flow
13 going into the canister. So you wouldn't have to
14 physically load it with a chemical or some kind of
15 dust material, but we can simulate that maybe by
16 restricting the flow into it.

17 So we're open to about any suggestion
18 that would come up.

19 Yes?

20 MR. PARKER: Jay Parker with the Bullard
21 Company. I have a question about the breathing
22 machine.

23 Why couldn't we use the Bio Systems

1 Posicheck machine? Because it could do both flow
2 rates. Maybe there's some problem with the pattern,
3 the breathing curve. But I was just wondering why,
4 because that machine is another option I would
5 think.

6 MR. THORNTON: I guess it is and isn't
7 an option. If you look at the NFPA requirement, the
8 Posicheck has been built for that requirement. So
9 the Posicheck would fit the requirement of the NFPA.

10 And you're correct, it does perform also
11 40 liter a minute. And we're trying to evaluate
12 that to see whether the Posicheck would be the
13 appropriate piece of equipment for both of those
14 breathing rates or whether the machine that we have
15 mentioned in 42 CFR, we also have that same
16 instrument at the 103 liter a minute. So we are
17 evaluating both of those.

18 MR. PARKER: Thank you.

19 MR. BERNDTSSON: Goran Berndtsson from
20 The SEA Group.

21 What is the -- do you have any thought
22 around the four-hour hour battery life? Have you --
23 is that just a figure or is it based on an actual

1 (inaudible) or an assumed time spent a first
2 responder could be exposed?

3 MR. THORNTON: You know, again, I'm not
4 sure where that number came from. The four hours is
5 from the silica dust that is performed right now in
6 the industrial standard. It's put in silica dust
7 for four hours and then the airflow is checked again
8 after that to see that it meets the minimum
9 standard, the minimum flow rate.

10 So the four hours that we've come up
11 with is based on that test. And that's why we,
12 again, we're open to allowing the manufacturer to go
13 beyond that. And if we can get information that
14 would show that we need to lower that minimum, we
15 could possibly do that and come down below four.

16 MR. BERNDTSSON: I think it would make
17 sense to put the minimum up, the figure where it is
18 likely that first responder is going to have to be
19 staying in.

20 Secondly, as we are going to be looking
21 on active warning systems, it's not as critical to
22 have a minimum battery type because the operator
23 will be warned when it is time when he's running out

1 of battery by the warning systems.

2 And of course any of those tests is not
3 relevant to the reuse as the work rate is going to
4 be different to how you're testing it. So it's kind
5 of not necessary I think to have strict minimum
6 requirement, but what is necessary is to have the
7 warning systems in to warn the operator.

8 MR. THORNTON: Yes, and the warning
9 systems will be in both low battery life and low
10 flow or pressure indicators.

11 MR. SZALAJDA: And I just had one other
12 comment I wanted to add to your first question,
13 Goran, was, you know, with regard to setting a
14 minimum value.

15 This is really one of the areas that
16 over the next few months we plan on pursuing with
17 our stakeholders, whether or not that when we deal
18 with the fire service and the medical community,
19 whether or not it is appropriate to have a four-hour
20 minimum life or if they have -- whatever ideas they
21 may have for minimum battery life.

22 MR. CARETTI: Dave Caretti, Edgewood
23 Chem Bio Center.

1 Terry, one thing on one of your slides
2 that you need to be careful of. As you said, the
3 flow rate had a peak volume of 300 liters. And it's
4 really not a volume, it's just a rate. You're not
5 moving 300 liters of air at that moment, okay. So
6 just be careful with that for clarification.

7 MR. THORNTON: All right. There may be
8 a typo on there. Thank you.

9 MR. NAYLOR: Jim Naylor from Avon
10 Rubber.

11 It may be a little premature to bring
12 this up given the presentations that are coming. I
13 applaud the efforts to introduce a standard for
14 positive-pressure PAPR. I think it's overdue and I
15 think it's not just the CBRN community that will
16 benefit from that.

17 One thing that does concern me slightly
18 from the thrust of the presentations is there seems
19 to be a link between that and work rate. And I'm
20 not convinced that enough work has been done to
21 demonstrate that a positive-pressure PAPR system is
22 necessarily beneficial to somebody who's working a
23 high work rate.

1 Surely the benefit of such a system as
2 we see in SCBA is the higher protection that is
3 afforded to the user. The loading of a respirator
4 depends not just on the inhalation resistance but
5 also the exhalation resistance, the weight of it,
6 and heat-loading issues, et cetera, as well.

7 So I'm a little bit concerned that I'm
8 hearing that. And I don't see in this standard any
9 different levels of protection afforded by a
10 positive-pressure system.

11 MR. THORNTON: I don't think we put any
12 levels of protection on there. We'll have to take
13 that in consideration.

14 MR. SZALAJDA: I think what you'll hear
15 though over the next couple of presentations are
16 going to address some of those issues that you've
17 just raised.

18 And I think with, you know, one of the
19 things, and really the way we decided to focus the
20 approach for the discussion today, in the past we've
21 usually talked about some of our research, our
22 research projects at the end of the presentation.

23 But we felt we wanted to introduce the

1 two concepts for the constant demand and the
2 pressure demand at different flow rates up front,
3 and lead that into some work that we have ongoing,
4 some of it conducted by a contractor to NIOSH as
5 well as our partners at RDECOM that are addressing
6 high flow rates as well as pressure drop and
7 resistances through the canister.

8 So I think given the nature of some of
9 these questions, it's appropriate that we're going
10 to do those at this time.

11 So our next presenter is Dave Caretti,
12 an old colleague of mine from the days with the --
13 days at SBCCOM. Dave is a research physiologist in
14 the Edgewood Chemical Biological Center.

15 MR. CARETTI: Thanks, Jon. The comment
16 old is probably not right. I think you're older
17 than me. Former colleague.

18 What I want to discuss briefly is at the
19 October meeting we introduced to everyone the
20 research effort we were trying to do for NIOSH to
21 take a look at ventilation rates that are really
22 occurring in the workplace.

23 We had proposed to do some literature

1 search and some studies related to that to try to
2 get to the question about what are the work rates
3 that these types of systems may be utilized under in
4 the workplace.

5 Some background information. The
6 objectives of the research effort were again to try
7 to define ventilation based on real world work
8 rates, try to examine both nonrespirator conditions,
9 so what may be occurring just naturally at a
10 workplace without someone wearing a respirator, and
11 those instances where respirator wear would be a
12 requirement.

13 And the overall goal is to really try to
14 establish or to confirm, if you would, airflow rates
15 currently utilized in the 42 CFR and into the CBRN
16 standards as they're developed.

17 The approach agreed upon was to, first,
18 to do a literature review, try to be comprehensive
19 in our search, review as many articles as possible,
20 and see what we already know; and also to identify
21 what we don't know; also try to gather and compile
22 data on more recent respirator studies that have
23 gone to that extreme to look at more high work rate

1 types of things, which is kind of not new, but
2 there's been more of an effort placed on that
3 independently and some of it related through
4 different government groups lately and some
5 independent researchers.

6 And the third approach would be, the
7 third part of the approach, was when we do identify
8 data gaps, if the information is really important to
9 what we're trying to get towards, we may have to
10 implement some human-use testing.

11 So I'll focus in first on the literature
12 review. I'm just going to give you a background on
13 where we stand with this part of the project.

14 The literature review was completed
15 around December-January time frame. We reviewed
16 some of the concepts. We focused in on parameters
17 of ventilation pertinent to respirator
18 certification, like peak flow rates, minute volumes
19 and such.

20 We took a good look at all the articles
21 that we reviewed on the methods that were utilized
22 to measure ventilation. There are many different
23 ways to measure ventilation of someone during active

1 breathing.

2 We scrutinized the different methods
3 that were utilized so that we could feel comfortable
4 with the data or at least identify what some of the
5 problems may be with certain data in some of the
6 articles we reviewed.

7 We reviewed literature related to
8 maximum ventilation rates for individuals performing
9 maximal capacity testing, not much unlike a cardiac
10 stress test that some people may have undergone
11 before in their lifetime.

12 We looked at speech rates, flow rates
13 related to speech, to try to get a better feel for
14 some of the literature that's being purported about
15 how speech flow rates are very important to
16 consider.

17 And then we looked for ventilation rates
18 for occupational activities, and then went further
19 and tried to get more information about some of the
20 earlier work done with respirators and how breathing
21 resistances impact ventilation.

22 In total, we reviewed 155 papers. Some
23 of these were quick reads because we've read them

1 many times in the past. Some of them required a
2 little more in-depth analysis.

3 And out of those 155, you can see
4 there's very few papers that have anything to do
5 with breathing in the workplace. Most of the work
6 is done in laboratory settings. A lot of simulated
7 workplace activity.

8 But the bottom line is most ventilation
9 is -- or ventilation studies are kind of a side
10 information related to other things like energy
11 consumption or energy expenditure rates. And a lot
12 of times researchers measured ventilation but they
13 didn't report it.

14 So we had very limited empirical data to
15 try to meet all of our objectives. So we tried to
16 think about ways we could at least get a good feel
17 for what flow rates may be related to certain
18 occupations and activities. And we went back and,
19 using some information, there's a relationship
20 between the amount of air required for a certain
21 level of oxygen consumed.

22 Now, oxygen is the substrate utilized
23 mainly for performance, particularly for

1 aerobic-type activities which are generally low to
2 moderate intensity work loads that are carried on
3 for a long period of time.

4 Using the information that we understand
5 between the relationship between minute ventilation
6 and oxygen consumption, we adopted an approach of a
7 couple exponential functions that actually defined
8 this relationship using an empirical relationship
9 with a formula where we could at least estimate and
10 have a good estimate, we felt, of what ventilation
11 rates may be required for specific activities where
12 oxygen consumption or energy expenditure data is
13 reported.

14 In doing so in the paper we did go
15 through great lengths to define some of the
16 assumptions and limitations of using these types of
17 predictive equations if you will. So they're not
18 absolutes. But we felt that the information
19 provided was better than having nothing at all.

20 And because it is supported in the
21 literature and this relationship is fairly well
22 researched over the years, we feel -- we felt pretty
23 comfortable in going forward with at least

1 estimating some ventilation rates if they were not
2 reported.

3 We looked into peak inspiratory flow
4 rates. This refers back to what I said to Terry.
5 It's, you know, the highest flow rate occurring at
6 any time in an inhalation cycle or an exhalation
7 cycle, however it's defined.

8 There's not a lot of literature on peak
9 flow rates for normal individuals. It's more of a,
10 something that's reviewed for people that have some
11 kind of respiratory disease or some kind of
12 respiratory problems.

13 But there is some good literature, old
14 literature and a few new articles related to peak
15 flow rates just of normals doing different types of
16 activities or at least under certain work-load
17 conditions.

18 We were able to take some of that data
19 and combine it with data that we've collected
20 in-house in our laboratory with some data that we've
21 also been privy to through partners that we have
22 with University of Maryland at College Park and
23 tried to come up with a way of estimating peak flow

1 rates based on measured values and relationships to
2 minute ventilation.

3 Again, in doing so, it's not absolute,
4 hundred-percent correct, but knowing the assumptions
5 and the limitations associated, we believe we're
6 getting good estimations of what some peak flow
7 rates may be. And if we look at the data from the
8 estimations with the data reported, there's pretty
9 good correlation there.

10 We went further then after we reported
11 this information and looked again at the respirator
12 wear and ventilation rates.

13 And if you can't hear me over CVS, I'll
14 try to speak a little louder here.

15 (Laughter.)

16 MR. CARETTI: Our focus in looking at
17 the respirator and breathing resistance literature
18 was, you know, this has been reviewed in the past.
19 Other researchers have reviewed this information,
20 reported this information.

21 It's difficult to know that if you have
22 a resistance of X, you're automatically going to
23 have a change of ventilation of Y, because

1 resistances are never the same in anybody's one
2 paper to the next paper. Very difficult to define.

3 So we took the approach of trying to
4 say, well, what were the differences from the
5 nonmasked conditions if a researcher also did report
6 that information. And we tried to at least identify
7 trends in what happens when you wear a respirator
8 based on some broad categories of respirator types.

9 The purpose here was to say, well, if
10 you have a peak flow rate of X without a respirator,
11 is it safe to say that you even get that high with a
12 respirator when you're breathing against a
13 resistance, as more try to interject that
14 information into the paper.

15 The status of the paper is the last
16 bullet on the slide. Initial paper draft was
17 finished in March, provided to contacts at NIOSH for
18 their review.

19 This is just a summary chart of some of
20 the ventilation data we found for minute volumes.
21 Along the X axis would be minute ventilation, minute
22 volume, pulmonary ventilation. Pick your term, they
23 all mean the same thing.

1 And it's just a frequency distribution,
2 which is just a count of flow rates reported in the
3 literature, flow rates estimated based on our
4 relationship of minute volume to oxygen
5 consumption. And if you look closely at the
6 information, it's fitted with a gassing distribution
7 to show where the average flow rate would be.

8 Looking at the data in terms of seeing
9 the actual number, for the occupational activities
10 of the energy expenditure literature that was
11 reviewed, ventilation rates reported, ventilation
12 rates estimated, mean minute volumes for the
13 distribution was roughly 39 liters a minute out of
14 565 data points. Median, 95th percentile.

15 And highest peak, now this is a peak
16 minute volume, an actual volume of air moved in a
17 minute, was an estimated value of 162 liters per
18 minute. That is for the occupational activity
19 literature that was reviewed.

20 Estimates of peak flow, based on these
21 values, from the relationships that are in the paper
22 for the mean VE is minute volume, which is the 38.5,
23 peak values range anywhere between 72 and 183 liters

1 per minute.

2 The reason for defining a range is
3 because human beings do not all react the same to
4 everything. So we thought it was better to define a
5 range of these values where we felt comfortable that
6 in all likelihood, if you really were to measure a
7 peak flow rate under that particular minute volume,
8 you'd be fairly hard-pressed to find too many values
9 that fall outside of these ranges.

10 And probably -- of course we're more
11 concerned about the highest values there, but we
12 like to report the entire range.

13 Based on the 95th percentile minute
14 volume, the range was 182 to 295. And if we took
15 that peak 162 value, we could not try to estimate
16 peak flow rates because it violated assumptions that
17 were made in the paper for estimating our peak
18 flows.

19 I would not venture a guess of what the
20 peak flow rate would be for 162-liter-per-minute
21 minute volume, but if it was a pure sine curve, you
22 could multiply by pi and get a rough estimate of
23 that information. But humans do not breathe in a

1 pure sine wave pattern.

2 Based on some of the human performance
3 literature, really where we went to look for maximum
4 values, what are some of the highest values reported
5 for some of these ventilation rates, a couple papers
6 gave us good indications where they actually looked
7 at norms, quote-unquote, norms for different age
8 ranges based on gender.

9 And the 114 plus or minus 23 liters per
10 minute was a value where it was reported in two
11 separate papers which were pretty close to one
12 another. So there was some validation in terms of
13 saying probably for normal individuals, nonathletes,
14 whatever the differences may be in others, and norms
15 were defined in the paper and in our literature
16 review, would be roughly 114, 120 liters per minute
17 if you wanted to round it off to more -- a better
18 number to deal with.

19 For females, slightly lower. It's known
20 that basically because of body size, females do not
21 generate as high minute ventilation values on
22 average. Does not mean that some females cannot
23 generate greater ventilations than males.

1 And extremes in the literature reported
2 anywhere in the range of 180 to 200 liters per
3 minute. These are extremes. They may be single
4 values. Most of that data was related to
5 well-trained, highly competitive athletes.

6 If we looked at the human performance
7 literature again looking for peak values for peak
8 flow rates, literature suggests the maximums of
9 approximately 300 liters per minute.

10 Data that we have in-house where we've
11 tested some of this information, we have one peak
12 value of 485 liters per minute, one-time value,
13 one-time measurement, during hard work.

14 Speech values that we looked at in terms
15 of the data in the literature, yes, you can get,
16 generate high peak flows rates during speech under
17 rest conditions. But in the data that we looked at,
18 we did not see peak flow rates during speech that
19 were substantially different than what were found
20 under hard work or exercise conditions.

21 So some of the conclusions or some of
22 the recommendations, or whatever the best term is
23 here in the review that we conducted, is that we

1 didn't find -- we found that occupational minute
2 volumes rarely approached minute volumes for maximum
3 values reported for, you know, strenuous,
4 to-exhaustion-type activities.

5 We felt that the review of the
6 occupational data for ventilation, both again
7 reported and estimated, that the 73-liter-per-minute
8 was a sufficient representation of the upper limit
9 of minute volumes anticipated in the workplace. And
10 114 was a reasonable estimate for maximum minute
11 volumes.

12 For peak inspiratory flows, again, we
13 found that our high-end predictions based on minute
14 volume corresponded very well with values reported
15 in the literature. And plugging in the 114 into our
16 estimates of peak flows suggests that an upper limit
17 of 430 liters per minute would be pretty good value
18 for focusing in on peak flow rates occurring in
19 workplace conditions.

20 It's important again to understand that
21 higher minute volumes and peak flows will occur.
22 You will find them on occasion. They can happen.
23 But based on the literature, the indications are

1 that these are not the norm.

2 For respirator wear information in
3 general, minute volumes and peak flows were lower
4 during intense work, not so much under low work rate
5 conditions.

6 For APR and SCBA types, and referring
7 back to the gentleman from Avon, probably the SCBAs,
8 the changes really have a lot to do with the weight
9 of the SCBA. And for supplied-air or PAPR systems,
10 they seem to have less of an impact on minute
11 volumes and peak flow rates for at least those
12 conditions where they've been reported.

13 What the implications may be, and now I
14 will not speak on behalf of NIOSH, but this is
15 generally what we felt with the ventilation rates
16 and review of the literature.

17 If you really wanted a better
18 representation of occupational ventilation rates to
19 account for more flow rates that may be occurring in
20 the workplace, a minute volume value of 73 liters
21 per minute covers that 95th percentile. If we
22 wanted to try to adjust a greater range of human
23 ventilation and account for peak flow rates, then

1 114 value is a good value to focus in on.

2 But there are many factors involved.
3 You cannot just adopt these types of flow rates
4 carte blanche without considering is it a cyclic
5 flow rate type of test or a constant flow rate test
6 to evaluate different things related to the filter
7 performance.

8 And the second part of all this of
9 course is, you know, what are the contaminant
10 exposure levels. If you continue to use three times
11 IDLH to test something, does it make sense to
12 quadruple the flow rates for testing? Just general
13 considerations that we felt needed to be
14 emphasized.

15 The second part of the review, and I
16 won't go into great details here, but we're in the
17 process of collecting more data from other
18 researchers to try again, relook at some of the
19 ventilation data due to respirator conditions to
20 help us identify data gaps for further research.

21 We've gotten data from three sources.
22 We're anticipating from one other. So we're
23 currently building that database. And we will

1 initiate a, it's not really a meta-analysis but a
2 new analysis of all the data based on work rates.
3 We'll try to base it on resistances, respirator
4 types, whatever common parameters we can find
5 between the different databases. Not an easy
6 challenge.

7 Just some sample data. We do have some
8 breath-by-breath data. We are doing some
9 calculations from one of the data sources, required
10 some programming to analyze. This is a waveform of
11 somebody spontaneously breathing during a test.
12 We've seen that before.

13 As far as recommendations for further
14 work based on our literature review, as we do feel
15 that we need to really establish the relationship
16 between ventilation and oxygen usage based on a
17 population of respirator users. A lot of the
18 respiratory performance literature doesn't even use
19 respirator users.

20 So a lot of times the subject population is
21 not the norm that you're going to see in the
22 workplace. Many times it's young, apparently
23 healthy, active individuals because, let's face it,

1 they need money for college. That's why they
2 participate.

3 But the issue about measuring workplace
4 ventilation rates is not an easy issue to tackle
5 because not too many industrial settings where
6 somebody's required to wear a respirator for
7 protection is somebody going to allow you to stick a
8 flowmeter on that respirator by somebody maybe
9 working with some hazardous substance for the
10 potential of, well, is it going to interfere with
11 the protection of that respirator?

12 There's some work there that we're
13 trying to discuss with NIOSH, and we'll see what we
14 can do with that. And recommendations based on the
15 compiled data will be determined once we get that
16 data set analyzed.

17 A brief overview of project milestones.
18 The goal again here is to try to complete the
19 analysis of the data from our data compilation
20 effort in early summer and provide some final
21 updates to the flow rate datas that I've already
22 presented here to you.

23 With that, any questions?

1 MR. DUNCAN: Paul Duncan, Scott Health &
2 Safety.

3 When you were evaluating the, some of
4 the peak inhalation flows, did you find any data on
5 how long those respiration rates can be sustained?

6 MR. CARETTI: We did not take an
7 absolute look at, for instance, if somebody was
8 breathing at a rate that produced peak flow rates of
9 430 liters per minute, how long could they exercise
10 under those conditions.

11 Part of that information is available,
12 but not in total, so we didn't feel comfortable with
13 reporting that just yet. We're hoping from the data
14 compilation to get a better feel for that.

15 But we are probably talking about
16 short-duration activities with those high flow
17 rates. Exact time frame, I don't know. Five, ten
18 minutes of activity. It's very difficult to sustain
19 those high work loads, especially for, for instance,
20 somebody wearing a 17-, 18-kilogram SCBA.

21 MR. SAWICKI: Jack Sawicki,
22 GlobalSecure.

23 Any of the data that's coming in, is any

1 of it going to be from the Marines?

2 MR. CARETTI: Let's say that that's the
3 data set we're waiting on.

4 And that's in reference back to, I guess
5 it was the fall of 2002 maybe, Dr. John Kaufman
6 presented some ventilation rates for some heavy
7 activities that were collected with Marine
8 volunteers.

9 MR. BERNDTSSON: Goran Berndtsson from
10 The SEA Group.

11 I just want to say you've done a good
12 job here I think. I really appreciate seeing this
13 data coming out and the way you put it together.

14 To answer some of the questions which
15 Paul raised, I think it is that the duration time of
16 people can work that hard is probably connected with
17 motivation.

18 If you took the first responders in the
19 World Trade Center, they were really motivated to
20 find their mates. They were working over and beyond
21 what we normally would be expecting at those kind of
22 work rates.

23 If you take someone in the opposite

1 side, they'd probably find that ah, this is too
2 hard. I don't want to work this hard, and give it
3 up pretty soon.

4 MR. CARETTI: And there is quite a bit
5 of good to come from that comment. Motivation is
6 probably a factor with anybody wearing any
7 respirator under any condition.

8 But the literature under these peak
9 values, at least for the minute volume, the high
10 minute volume rates, some of the simulated workplace
11 factors or tests were done under escape scenarios;
12 mine escape, escape from an oil-drilling platform
13 off the coast.

14 And, you know, those conditions, they
15 used workers that do that type of stuff. Even
16 though they knew it was -- they were supposed to
17 escape as fast as possible and as safe as possible,
18 they still knew it was a research project.

19 MR. COBES: Hi. John Cobes, AJE Testing
20 and Research.

21 Just had a quick question. Looks like
22 all your average values you determined from a
23 galcion (phonetic) distribution. Did you try to do

1 anything with say a log normal distribution since
2 didn't really seem to fit a normal distribution to
3 what the difference would be?

4 MR. CARETTI: The data that was
5 presented in that graph was just a descriptive
6 statistical look at the frequency distribution of
7 the values. We did not do any analysis to see if
8 what one flow rate, how it differed from another
9 based on work intensities.

10 The review of the literature was more to
11 see what's out there. Now, in the data compilation
12 we will apply whatever statistics are appropriate to
13 analyze that based on respirator types or work loads
14 or resistance conditions, whatever parameters we
15 determine.

16 And in that case, if the data is
17 nonparametric, we will proceed with nonparametric
18 analysis.

19 Okay. Thank you for your time.

20 MR. MONAHAN: Good morning. I'm Mike
21 Monahan. I'm a member of the policy and standards
22 development team. We're going to review a summary
23 of work that was contracted by NIOSH to AJE Testing

1 and Research.

2 We're looking at a study to determine
3 the effect of differing canister resistances on
4 service life in PAPR applications. Our objective
5 was to conduct a study to determine the effect of
6 differing canister resistances on service life of a
7 PAPR by artificially altering the pressure drop
8 through a pair of simulated test canisters.

9 The pairs of test canisters were
10 prepared with differing pressure drops by adding
11 appropriate restrictor plates to the influent side
12 of the canister according to the following table
13 below. These were -- we chose to use 85 liters per
14 minute as just a benchmark for a flow to target our
15 pressure drop percentages.

16 We decided to use two challenge gases.
17 One is physically absorbed cyclohexane and a
18 chemi-absorbed sulfur dioxide. We used the APR, or
19 what is proposed in the new PAPR concept papers, and
20 at the flows of 115 liters a minute and 300 liters
21 per minute.

22 The canisters we used were simulated
23 canisters. They're five inches in diameter and with

1 the capability of adjusting the bed depth. Fill,
2 the carbon we used was a 12-by-30 URC respirator
3 carbon produced by Calgon Carbon.

4 And for the two different flow rates, we
5 decided to use different fill volumes to get a
6 better idea what the service lives would be. We
7 used 300 cc's for the low and 600 for the high.

8 These canisters were filled using a
9 sifter-flow method. And the effluent, we determined
10 the effluent flow, airflow, and the break point for
11 each of the individual canisters used each test.

12 System breakthrough times were
13 determined by combining the data of each of the
14 individual flows and breakthrough concentrations.

15 Here's a diagram of the canister that
16 was used. Basically you have a, a, oh, a standard
17 sort of canister configuration. You have a top
18 plate, a fill pad, a carbon bed, another fill pad
19 and a bottom screen.

20 Here we used a retainer ring which
21 supported the flow restrictor material. And we
22 varied this according to what the amount of
23 resistance we needed by using a combination of

1 screens and filter pads.

2 The apparatus itself is a basic standard
3 service-like-type apparatus. You have your
4 conditioned airflow, challenge introduction into a
5 mixing chamber, and then into a test cell where each
6 canister was monitored for breakthrough.

7 At the beginning of each test, in the
8 first minute in the test, mass flow controllers were
9 inserted into the effluent stream and the flow rate
10 was determined. And each of the -- then they were
11 removed and the airstream was allowed to pass
12 through the detectors.

13 This is the calc -- it is an extreme
14 sample of the calculations. This was actually the
15 30 percent difference in flow or in resistance.
16 These are the resistances, 13.1 for the low
17 resistant cartridge. And the higher resistant
18 cartridge was 17.2 millimeters of water.

19 The flows that were determined for the
20 low flow or the -- yeah, the low flow, the low
21 resistance cartridge, was 63.4 liters a minute. And
22 the high resistance cartridge was 51.6 liters. And
23 this just shows you the mass flow equation that we

1 used to determine the system breakthrough.

2 We took -- you take the concentration at
3 any particular moment and multiply it by the flow
4 plus the flow of the second cartridge and the
5 concentration divided by the total flow.

6 This is sort of a graphic illustration
7 of one single test. As you can see, when -- the
8 cartridge with the lowest resistance is breaking
9 much quicker than the cartridge with the high
10 resistance. And because of -- and you can see the
11 flow difference.

12 So at the system breakthrough of, I
13 don't know, somewhere around 39 minutes roughly,
14 where you would get cyclohexane at 10 ppm, the,
15 actually the one cartridge is actually around 18
16 ppm, whereas the other cartridge isn't contributing
17 any concentration at all or any contaminant to the
18 total flow.

19 These are the compilation of data of two
20 -- each point represents two sets of data, the
21 average of two sets of data. As you can see, that
22 the lower flow produced higher service lives than
23 the low flow. They're very comparable as far as

1 their slopes go.

2 And for sulfur dioxide, we saw the same
3 type of trends in which the lower resistance
4 cartridges had higher service lives and the effect
5 of -- go on to the conclusions here.

6 The difference in resistance occurred
7 between cartridges will cause the following: It
8 changes the flow patterns, airflow patterns between
9 the cartridges. It leads to lower service lives.
10 And the decreased service life is more pronounced
11 with the higher flows.

12 There was no significant differences in
13 service life reduction due to the contaminants
14 chosen, the sulfur dioxide or the cyclohexane.

15 However, one issue that needs to be
16 considered is that there's another class of
17 reactions which we didn't really consider in the
18 first study was that the ones that aren't chemical
19 or physically adsorbed that are more or less
20 catalytic, have a catalytic effect, such as with the
21 test representative compounds that we use of
22 phosphine or cyanogen chloride.

23 And we're going to look further at this

1 and study this a little bit further before we
2 introduce the standard.

3 There was an additional issue that come
4 up right at the pressure drop that we saw and I
5 think I'm going to bring up. We were doing some
6 preliminary benchmark testing and we started looking
7 at the actual manifold on a multicartridge PAPR.
8 And what we started to see was the same type of
9 information that we were getting with the
10 cartridges.

11 The flows through each of the ports of
12 the manifold were different. This would probably
13 end up, what we think will show the same type of
14 effects that we saw with the cartridges. In other
15 words, you're going to get exaggerated flow through
16 one port than you would the other ports.

17 For additional studies, we're looking to
18 look at the catalytic effect of adsorbed chemicals,
19 phosphine and cyanogen chloride. We're also going
20 to look at bed depth. And we feel that we can
21 probably accomplish this work within the next three
22 months.

23 And if anybody would want to suggest any

1 additional studies that they feel might be
2 necessary, we'd appreciate your input.

3 Implications for the standard. I've
4 been working with the iso group for test methods.
5 And one of the things they're looking at is with
6 PAPRs, or multi-cartridge respirators, is testing
7 single cartridges rather than one or the whole
8 unit.

9 And if we were to address something like
10 this in our standard, which is maybe a good idea,
11 we'd have to address the canister uniformity in some
12 of our quality control documents that would have to
13 be supplied from the manufacturer. You would have
14 to have, allow this canister uniformity, you'd have
15 to base it on some sort of an average value supplied
16 by the manufacturer.

17 And this would reduce testing costs.
18 The testing costs at these extreme flow rates are
19 going to be maybe three to five times what you would
20 see in a regular PAPR-type of test.

21 Also, because of the manifold effects,
22 we're suggesting that we may have to look at a
23 systems-type test that would allow for the different

1 types of designs that would be brought forward.

2 That's it. Any questions?

3 MR. LINKO: Bill Linko from Micronel
4 U.S.

5 We've been running some tests on filters
6 for orthopedic surgeons and we're finding out -- the
7 goal was to achieve 99.97 percent efficiency down at
8 the .3 micron at 15 cfm.

9 When we measured the velocity per unit
10 area, we found great variations in velocity of
11 certain material. And we assume, although it's not
12 proven, that's going to affect the efficiency at
13 some point in time.

14 My question here is in testing these
15 filters, have you did any work in measuring unit
16 velocities? You've run at 15 cf -- I'm sorry, 15
17 square inches of area. Did you test velocity per
18 square inch?

19 MR. MONAHAN: No, we didn't.

20 MR. LINKO: Okay.

21 MR. SAVARIN: Mike Savarin, ICS Labs.
22 Just a couple of things that I wanted to ask.

23 When you were making the assemblies, was

1 there any investigation into the packing density, or
2 were they all kept at the same packing density and
3 then you used the retainer rings to control the
4 actual resistance of the unit?

5 MR. MONAHAN: When you use a sifter-film
6 method, you get a dense-packed bed. And I think Jon
7 can probably help me out on this a little bit on
8 this.

9 MR. SAVARIN: Are you talking about the
10 thing that looks like the snowstorm filler?

11 MR. MONAHAN: Yes.

12 MR. SAVARIN: Yeah, I'm familiar with
13 that, but you can still get -- there's still room
14 for packing the bed after you've finished.

15 MR. MONAHAN: If you -- the beds were
16 compacted enough to create a solid bed. We tried
17 not to distort the carbon by --

18 MR. SAVARIN: Yeah, I can understand
19 that.

20 MR. MONAHAN: Yeah.

21 MR. SAVARIN: I would imagine that still
22 needed some more investigation myself.

23 The canisters were fill volumes in

1 excess of 300 cc, right?

2 MR. MONAHAN: We used 300 and 600.

3 MR. SAVARIN: And 600, right?

4 MR. MONAHAN: Right.

5 MR. SAVARIN: I don't know if the
6 intention is to, because I can't remember, is to
7 have the C burns (phonetic) that are at that volume
8 of 300 minimum. But if there was something less and
9 then occupy more the cartridge type of definition in
10 around the 250 or less cc, would you expect there to
11 be any difference in some of the results that you
12 saw?

13 MR. MONAHAN: We're suggesting to do a
14 bed-depth study. This was strictly for base
15 knowledge we were trying to do this. Everybody
16 always talks, you know, depending on, in the
17 industry about the effect of pressure drop on the
18 cartridges. And it's not documented too well and we
19 were just trying to get some data out there that
20 shows what these effects are.

21 MR. SAVARIN: Yeah, that's the other
22 thing that I think having controlled packing density
23 would have also. That's the other side benefit is

1 that you should be able to reduce the distribution
2 of variations in pressure drop.

3 But I have one other thing I want to
4 just, not necessarily suggest but mention. Someone
5 said they would like some suggestions on what we
6 might be able to do.

7 One of the things that may be worth
8 investigating is the effect of pulsed or sinusoidal
9 flow rates on the cartridges, particularly in
10 respect to the higher flows that we're talking about
11 and will be talking about as this progresses
12 throughout the day.

13 The higher flows are going to have some
14 significant effects possibly, if you like, on
15 service life. But a more realistic approach to
16 testing the cartridges will be a different flow path
17 I believe.

18 Thank you.

19 MR. PARKER: Jay Parker with the Bullard
20 Company.

21 Mike, I recall at one of the previous
22 meetings that NIOSH had proposed a maximum range of
23 cartridge resistance of I think it was 5 millimeters

1 at 85 liters a minute. Or maybe that was just a
2 concept.

3 MR. MONAHAN: That was, I believe that
4 was the negative pressure.

5 MR. SZALAJDA: It was, yeah, we had --
6 in one of the earlier versions of the concept paper
7 we had a canister uniformity requirement or
8 potential requirement that was identified. And at
9 least at this point, until we do additional
10 research, we backed off on identifying a specific
11 requirement.

12 MR. PARKER: Okay. Right. I was just
13 -- that's exactly what I was wondering. So you're
14 going to wait till you finish the research and then
15 come out with a number?

16 MR. SZALAJDA: Right.

17 MR. PARKER: Thank you.

18 MR. SZALAJDA: Okay. At this point I
19 think we're just a couple minutes behind schedule.
20 Why don't we take a ten-minute break and reconvene.

21 (Recess taken.)

22 MR. SZALAJDA: What we want to do is to
23 cover a couple additional topics before the lunch

1 hour. In particular we're going to address
2 conceptual requirements for the canister,
3 particulate testing and then battery requirements.

4 With that, Terry Thornton's going to
5 lead the discussion on the canister.

6 MR. THORNTON: Didn't take long for them
7 to get me back up here again. If everybody's ready,
8 I'll go ahead and get started.

9 The canister requirements that we're
10 going to go through, there's quite a bit of
11 information in here, quite a bit of information that
12 I'll be going through, so bear with me. We'll try
13 to make this before lunch. We have an hour.

14 Canister requirements are really going
15 to be based, for the PAPR are going to be based back
16 on the work that we did for air-purifying
17 respirators. We all remember the standard we came
18 out with in March 2003.

19 The hazard list that we were actually
20 working from was derived from earlier than that,
21 from the CBR standards development work. So there
22 was a pretty good history there of these canister
23 requirements. This APR standard is available on the

1 NPPTL website, so it's pretty easy to find.

2 And on that website also there's
3 preamble for the APR standard that gets into a lot
4 of detail on the hazard analysis. So I'm not going
5 to cover the hazard analysis real detailed as we
6 have before. If you need any more detailed
7 information about it, you can get with me during
8 lunch or during a break and I can go through it.

9 Test representative agents that have
10 been identified still as they were in the APR are
11 for these families. There's ten chemicals and DOP.
12 Seven are respiratory hazard families and six of
13 them are chemical families. That's going to become
14 a little more important when we talk about our
15 protection stacking.

16 As you look at this, the one that really
17 stands out is the acid gas family. And you can see
18 in acid gas, there's five test representative agents
19 that make up the acid gas family.

20 These are the requirements and these
21 are, again, directly from the APR. This is the
22 actual test representative agent with the challenge
23 concentration that's used for that agent and the

1 breakthrough concentration that we look for.

2 Two items stand out there for the
3 breakthrough concentration, nitrogen dioxide. We're
4 actually looking for two chemicals, either 1 ppm NO₂
5 as a breakthrough or 25 ppm NL. We monitor for both
6 of those, the breakthrough.

7 Hydrogen cyanide, even though it's not
8 marked up there, is a 4.7 ppm. We're actually
9 looking for hydrogen cyanide or a combination of
10 that and cyanogen to generate 4.7 ppm.

11 Test times, that's always been a big,
12 hot topic. How long are we testing these for?
13 Using those concentrations, NIOSH is going to kind
14 of identify a new terminology that will be used
15 here. And this terminology even goes back to the
16 APR. It wasn't discussed in the APR standard, but
17 this is how the APR canisters are being marked now.

18 One of our concerns was having a time
19 limit on there of 15 minutes on the canister. What
20 NIOSH has done is we've looked at marking it as a
21 capacity. And as you see, we have capacities 1
22 through 6. 1 through 4 are 15-minute intervals. 5
23 and 6 are based on 30-minute intervals.

1 So the filter capacity for capacity 1 is
2 the test concentration of that specific chemical
3 times 15 minutes. Same as for a capacity 2 would be
4 the test concentration times 30 minutes. The new
5 APR standards that are out there approvals that it
6 went out are marked with capacities and not just
7 minutes.

8 Again, we're going to look at some PAPR
9 types here. The constant flow pressure demand, as
10 we've seen, we're going to look at moderate and high
11 breathing rate performance of both of those. The
12 canister requirements are going to be a little bit
13 different. Right now in the concept, the
14 requirements are different between constant flow and
15 pressure demand.

16 I'm going to go through the constant-
17 flow PAPR concept first. Again, for the canister
18 requirements, constant flow, the manufacturer is
19 going to apply for either moderate breathing rate
20 performance, high breathing rate performance. Went
21 through both of those earlier.

22 And you'll apply for a capacity. You're
23 going to tell us what the capacity is that you want,

1 1 through 6.

2 The airflow for service life. This is
3 where a lot of work is still being done to determine
4 what the airflow is going to be tested at, what the
5 canister will be tested at. As you can see, the
6 service-life testing will be performed at the
7 airflow of the blower.

8 In other words, we're going to measure
9 how much air the blower is putting out. And then
10 we're going to use the higher rate for the minimum.
11 And you can see for moderate breathing rate, that
12 minimum's a hundred liters a minute. For a high
13 breathing rate performance, it's 261 liters a
14 minute. That would be the minimum.

15 So if the blower comes in for high
16 breathing rate performance, it's blowing at 300
17 liters a minute. If it comes in at 250, we would
18 test the canister at 261 liters a minute. That
19 would be the minimum.

20 We are still looking at how we're going
21 to evaluate that airflow from that PAPR, whether
22 we'll be measuring it directly, whether we're going
23 to put it on a breathing machine, or how we're going

1 to measure that.

2 The requirements are going to follow
3 again along with the APR standard in what we've done
4 the previous work. So that there will be three
5 tests at the low humidity capacity requested, three
6 at the high humidity, and three for the crisis
7 provision capacity. As you see, there's really
8 nothing listed there for crisis provision. We're
9 going to hit that a little bit later. Those were
10 always run at 25 degrees C.

11 For multiple PAPR configurations, in
12 other words, where there's a manifold that has two
13 or three or more elements on there, we'll take that
14 airflow, divide it by the number of canisters, and
15 use that airflow to test the canister itself.

16 So if it's a 300-liter-a-minute PAPR, it
17 has three canisters, each canister can be tested at
18 100 liters a minute individually. Of course if it's
19 a single-element canister, we would test that
20 canister at whatever the airflow is.

21 For demand responses, it's going to go
22 about the same way at the beginning. You'll apply
23 for a moderate or a high breathing rate. And again,

1 you'll specify the capacity 1 through 6.

2 What are the flow rates for the demand
3 responsive? Before we get too many moans and groans
4 on here, we are still looking at these flow rates so
5 they are not set in stone. They're still a concept
6 that is evolving.

7 But for right now, the moderate
8 breathing rate performance, the canisters would be
9 tested at 115 liters a minute. That would be the
10 flow that the canisters are tested at. And I'll
11 remind you, as we have a canister or a system that
12 comes in with two or more canisters, we would take
13 that 115, divide it by the number of canisters, and
14 test the actual canister at that flow.

15 For a high breathing rate performance,
16 that value goes up to 300 liters a minute. But
17 we're still looking at both of those values and
18 we're looking for any information you can give us to
19 help us evaluate on how we're going to perform that
20 test.

21 The test, the canister itself will be
22 tested again as with constant flow as the APR, three
23 tests at high humidity, three at low humidity, 25

1 degrees C. We're also going to perform three tests
2 at crisis provision capacity.

3 Again, we say the same thing for a
4 single element or for a multiple canister. We'll
5 change those values proportionally. And remember,
6 the minimum will always be there for both the high
7 and the moderate breathing rate.

8 I've mentioned a couple times crisis
9 provision. And before I go to this next slide,
10 remember we're still looking on, we're still
11 evaluating this airflow that we're going to use for
12 crisis provision. But for right now our concept, we
13 look at the crisis provision as whether it's a
14 constant flow or demand responsive unit.

15 We're going to test the crisis provision
16 all the same. Three tests, 430 liters a minute.
17 We're going to go ahead and put the humidity back to
18 50 percent. That kind of falls along with what we
19 had done for crisis provision before. It's right in
20 the middle. 25 degrees C. We're going to stick
21 with that time of five minutes.

22 So it's the same challenge concentration
23 for each chemical, but the service -- I don't know

1 if service life's the best words to use there, but
2 the time for that test is still five minutes. Again
3 we're evaluating that 430 liters a minute.

4 We've seen some studies that come up
5 that talk about some airflows. And we're continuing
6 a study that Mike Monahan talked about that may help
7 us narrow down that number. But as we say, we're
8 always looking for other comment on what would be an
9 appropriate airflow to test crisis provision.

10 We see in the concept paper for 1 April,
11 we have a provision in there for stacking, stacking
12 of protection. All right. There's the base CBRN
13 testing that will be done. But we feel like the
14 manufacturers may want to come in and have some
15 additional protection added to the canister
16 beyond -- still staying within the realm of CBRN,
17 but instead of just one capacity across the board,
18 you would want to raise a specific chemical or group
19 of families up to a higher level capacity.

20 You remember, as I pointed out when we
21 looked at the TRAs, acid gas has five chemicals. So
22 if you want to increase any of the families, you
23 would have to pass the test at that higher capacity

1 at each of those test representative agents. For
2 acid gas there's five.

3 A quick example of that would be for
4 protection of CBRN capacity 1 with an increase of
5 acid gas capacity up to 2 and maybe an OV capacity
6 up to 3. Now, this is just an example we kind of --
7 we kind of pulled out of the air. The testing would
8 be performed, for CBRN capacity 1, we'd test NO₂,
9 formaldehyde, phosphine and ammonia at 15 minutes.

10 The acid gases would be tested at the
11 30-minute value, 30 minutes. And then for the OV,
12 since it's a capacity 3, capacity 3 is equal to 45
13 minutes.

14 That's kind of everything that's covered
15 in the concept paper as it's written right now, 1
16 April. But of course as we're still evolving with
17 everything, we're trying to look at what else we may
18 need to make the standard complete.

19 And as Mike had talked about, canister
20 uniformity is one of the things that's being
21 considered. Back at the last concept, or last
22 public meeting, we put this out and I think somebody
23 had mentioned a measurement of 5 millimeters. And I

1 believe that's what we had put out earlier.

2 So what we'll do for canister
3 uniformity, we see that -- we really feel like the
4 canisters need to be uniform across the board, and
5 at a prescribed flow rate. So probably that will be
6 about 85 liters a minute. But we're not specifying
7 the flow rate yet.

8 What we'll do is we'll take all the
9 canisters that come in for service-life testing.
10 And that's approximately 125 to 150 of them. We'll
11 do the initial resistance tests on all those. And
12 we just collect that data as we're doing the
13 testing. And then we'll average that, get a
14 baseline for that manufacturer of that particular
15 canister.

16 And then the requirement will be, as you
17 see, the variance between the population must be at
18 a defined range. And we're saying defined range
19 right now because we're not sure how we're going to
20 specify that measurement, whether it be plus or
21 minus 2 millimeters of mercury or 2 millimeters of
22 water pressure, or maybe just a percentage of that
23 resistance.

1 So we're looking for information on how
2 we can set that. Now, that defined range will not
3 only be the population that we've generated, the 150
4 that we've tested, but that range will have to be
5 continued throughout the manufacturing process and
6 will have to stay that way.

7 So quality assurance will have to be
8 able to be involved to ensure that throughout
9 manufacturing process of producing hundreds or
10 thousands of these, that that range within that
11 defined range is held.

12 Some more additional things we're
13 looking at. Our tests to determine that the airflow
14 from the individual canister connection on the
15 manifold, and Mike had alluded to this, that we've
16 looked at manifolds that may have two or three
17 canisters. The air coming into those may not be
18 equal, even though it looks like it's an equal
19 distribution there.

20 We're concerned about that. And we need
21 to devise some type of test to determine if that's
22 an appropriate flow for each canister connection.
23 And we could do that with an engineering evaluation

1 that would look at that manifold airflow.

2 We may not have to actually physically
3 measure that. But we're going to need to take that
4 into account when we talk about service-life
5 testing, especially the time of service-life
6 testing.

7 So these are our ongoing concerns on how
8 we're going to do the testing. And Mike Monahan had
9 pointed this out, that we're looking -- there's a
10 difference between testing as a systems and testing
11 as individual canisters. All right. And our
12 concerns there are the uniformity of the canister,
13 the uniformity of the manifold, and, hard to
14 believe, but we also look at, we're very conscious
15 on the time and the cost for the service-life
16 testing.

17 So we really have three concepts or
18 three ideas that we're looking at right now on how
19 we're going to perform the service-life testing on
20 the individual canisters or the system.

21 And you can see these are pretty
22 simple. We're going to do either individual
23 canisters where all we test is the canister itself,

1 we'll do a systems testing, which would be all the
2 chemicals, would be complete systems testing, the
3 manifold with the canister as a whole or a
4 combination of it.

5 For individual canister testing if we'd
6 go that route and look at specifically individual
7 canister testing, these are some ideas that we would
8 have on how to do that. Of course we'd stick with
9 the concept of three canisters at high humidity,
10 three canisters at low humidity, three canisters at
11 crisis provision.

12 But you see we would have to maybe take
13 into account the airflow differences through the
14 canister and through the manifold. So we would have
15 proportional airflow to the blower plus an increase
16 in either the flow or time to build in a safety
17 factor.

18 We would also have to do some type of
19 evaluation for that equal flow characteristics on
20 the manifold, whether that be engineering, design
21 look at it, or actually do some measurement
22 testing. We would need that to help define the
23 percentage of increase of flow or time.

1 For systems testing, we can see this is
2 kind of what we do now. If a manifold comes in with
3 two canisters or three canisters, we put it in as a
4 complete unit, put it into the box, everything would
5 be exposed to the concentration at the same time, to
6 that challenge concentration. And we would just use
7 the airflow of the blower. Again, we would do the
8 three systems, high humidity, low humidity and the
9 crisis provision.

10 It's pretty easy to figure out what
11 comes next, the combination of doing this for both
12 individual canister and the system combination
13 testing. All right. So we would do individual
14 canisters at that high humidity, low humidity, and
15 the airflows of the blower are proportional to the
16 blower, and the three canisters at the crisis
17 provision. Right now that crisis provision stays
18 the same constant flow, 430 liters a minute.

19 But beyond this testing of the
20 individual canisters, we recognize that we would
21 need to look at the system as a whole and test it
22 all at one time. So for this we would need to do
23 some type of complete manifold with the canisters or

1 the cartridges in place. And here's where we're
2 really looking for some opinion, some help.

3 How many times would we do that? Would
4 we do it for the worst-case chemical, which either
5 we could define as a worst-case chemical or we could
6 look at preexisting data that comes in for the
7 manufacturer to define which of the ten chemicals
8 would be the worst case, or possibly it could be
9 just a short list of the chemicals. OV, one of the
10 catalytic reaction chemicals.

11 So that's one of the places we're really
12 looking at studying this. And we would welcome any
13 input to determine what type of chemicals and how we
14 would do that.

15 Some pros and cons. This is pretty easy
16 to look at. Cost for individual canister testing,
17 the cost, there's fewer dollars in chemical cost,
18 fewer canisters used for testing. Fewer canisters
19 used for testing also goes with the durability.
20 There would be less that would have to go through
21 the durability.

22 But does not account for the flow
23 variations of manifold and canister resistance, the

1 flows that we are talking about through there. And
2 it sort of deviates from the traditional
3 requirements described in 42 CFR.

4 The other way to look at it, the systems
5 testing, as Mike referred to, preliminary cost
6 estimates would raise that cost if we're going to
7 test all ten chemicals, three systems for each high
8 and low humidity, maybe three to five times the cost
9 as of now of testing the canisters individually.

10 So much higher cost in chemical. More
11 canisters would be required. If the PAPR comes in
12 with three canisters on a manifold, and you tested
13 the system on all ten, high and low, that's a lot of
14 canisters. There may be additional costs of test
15 manifolds would come in with that.

16 But it would take into account all the
17 variations of the flow through the canister and
18 through the manifold that would be built into the
19 system. And it's also traditional with what 42 CFR
20 calls out now.

21 Combination testing is going to give us
22 the best, probably the best of both worlds, lower
23 costs, fewer canisters, which relates to lower

1 costs, fewer test manifolds.

2 Probably the combination is the best way
3 to go as far as time in the laboratory. As we know,
4 that laboratory testing takes quite a bit of time.
5 And that will account for all the flow variations in
6 the manifold and the canister resistance.

7 And that would be all for canister
8 requirements. So if we have any questions, I'll be
9 happy to attempt to field. There may be one or two
10 from this.

11 MR. BERNDTSSON: Goran Berndtsson from
12 The SEA Group. Couple of questions here.

13 One of the things you said we shouldn't
14 mention here because you are still considering it,
15 but I think you really need to think a little bit
16 about the breath response for the positive pressure
17 demand system and compare it, because the way it is
18 drafted now it is not very good at all.

19 I would like to have an explanation why
20 you have -- you had in the February draft, you were
21 looking on seeing the max capacity of the PAPR,
22 evaluate that and then testing the filter quality.
23 What's the reason for dropping that?

1 MR. THORNTON: I think you're referring
2 to, for the pressure demand, we were going to
3 attempt to find the maximum airflow that that unit
4 was capable of delivering.

5 MR. BERNDTSSON: I didn't read that to
6 be only the positive pressure demand. I thought it
7 was all the PAPR was going to be looked at.

8 MR. THORNTON: And I think some of the
9 real questions came at the pressure-demand units.
10 If you attempt to push these to the upper limit, it
11 could be built beyond what humans will respond to,
12 what they will ever breathe. So testing them at
13 that maximum unit just didn't seem logical on how to
14 do that.

15 Constant flow is a little bit different
16 because constant flow is constantly coming through
17 there and we know how much is coming through the
18 canister. And everything that comes through the
19 canister needs to be appropriate, needs to be
20 purified or cleaned or filtered, however you want to
21 look at it.

22 So I think we have kind of shifted to
23 performance and looking at the unit itself and

1 evaluating it based on that breathing performance.

2 We would connect it to a breathing
3 machine that's at the appropriate speed and look at
4 the total volume over a certain amount of time that
5 comes through the canister. And that seems to be
6 the best approach on how to determine the capacity
7 that's needed for that unit and those canisters that
8 are connected with it.

9 MR. BERNDTSSON: But maybe I'm missing
10 something, but that's not how the standard, how the
11 draft is written now, because you have limited that
12 to be tested at 115, or 300, 261 liter, I think you
13 said, divided by the filters.

14 So for example, if I make a constant
15 flow PAPR where flow's 400 liters, estimate that
16 higher, then of course if you're testing at 260
17 liters, there's not going to be any relevance to how
18 long the filters last in real life out there.

19 MR. THORNTON: No, the 261 would be a
20 minimum. If that unit comes in and blows 400 liters
21 a minute, we would use that 400 liter a minute to
22 develop the -- or to determine the capacity.

23 MR. BERNDTSSON: Then I misunderstood

1 how it was reported.

2 MR. THORNTON: Yeah, those are minimums
3 for moderate breathing rate and high breathing
4 performance. Those are minimums. The 100 and 261
5 liters per minute are minimum values. If the unit
6 is beyond that, we would evaluate it at the flow
7 that that unit produces.

8 MR. BERNDTSSON: Are we -- you also had
9 in the early draft that it had to be tight,
10 snug-fitting respirator. That was dropped out.

11 MR. THORNTON: I think it has -- I think
12 we're allowing both loose-fitting and tight-fitting
13 in this concept now.

14 MR. BERNDTSSON: But can you explain the
15 logic in panic mode for a non-tight-fitting
16 respirator?

17 MR. THORNTON: Well, I think the crisis
18 provision always needs to be evaluated. No matter
19 what the person is wearing, he could get into a
20 crisis provision -- or a crisis area and he needs to
21 leave the area.

22 MR. BERNDTSSON: But what I'm saying is
23 that if you don't have a tight-fitting respirator

1 and it only supplies 320 liters, and if you require
2 more, it's not going to be drawn through the filter,
3 it's going to come from somewhere else. So it kind
4 ever doesn't make sense.

5 MR. THORNTON: Well, you're correct,
6 it's a positive-pressure unit. And so there should
7 be enough pressure inside there to take care of the
8 overbreathing.

9 But it is something that we need to
10 study and develop a little better understanding of
11 how we're going to draw the standard for that.

12 MR. BERNDTSSON: I'm going to sit down.

13 (Unidentified man walked from floor to
14 dais microphone.)

15 UNIDENTIFIED: Just a minute. Goran.
16 Two comments.

17 First of all, on the tight-fitting and
18 the specification for tight-fitting, you're
19 absolutely right, with the panic demand, you're
20 breathing flow is pretty high, 430 liters per
21 minute. So the ability to meet that with perhaps a
22 loose-fitting design is questionable.

23 So by means of having a panic demand at

1 a high-flow rate, we're really using a performance
2 requirement to establish the overall performance.
3 It's tough to see perhaps how a loose-fitting design
4 could comply with that. So it's performance-based.

5 Second thing, on the max flow. In the
6 February issue, yeah, we did specify that we would
7 test the max flow of the system, of the blower
8 system. What actually happens there is you get into
9 issues of fan laws and how do you determine the
10 maximum peak flow capacity of a blower system.

11 And rather than get into that
12 technology, we decided to step back from it and look
13 at the flow delivered by the unit when it's
14 operating at a specified breathing rate. So we're
15 kind of balancing, I think, technological
16 requirements there. But your points are well
17 taken.

18 (?) MR. NAYLOR: I have a couple of
19 points.

20 Probably the simplest one first. The
21 range of resistances of canisters, if we have
22 multiple canisters on a unit. I'm a little bit
23 concerned about if that is an absolute value.

1 Logically, I would have thought it ought
2 to be a percentage. Clearly the effect of having a
3 5 millimeter variation on a canister is only 2, 20
4 millimeters is much greater than if it's 80
5 millimeters.

6 And the other point I'd make is that
7 that kind of requirement does already exist in the
8 European standard and has for many years. So the
9 levels that it talked about there are reasonably
10 well established in industry and complied with.
11 That was the first point.

12 The second point, which I've not heard
13 raised, I haven't been to many of these meetings,
14 but one concern I have is for the user and how they
15 understand or more likely fail to understand the use
16 time of these respirators. And by that I mean the
17 multiples of 15 minutes.

18 Is the user to assume that at 15 minutes
19 the canister has to be replaced every 15 minutes in
20 a CBRN scenario? And if that is the case, is that
21 realistic for a PAPR? That would probably prevent
22 using the PAPRs if they have to change the canisters
23 that frequently.

1 And then secondly, that leads on to my
2 comment that if we're having this stacking with
3 multiple use times for different chemical groups,
4 that's really going to be too much for the user to
5 understand I think. And I've talked to a number of
6 users and this 15-minute principle has not got over
7 to the user community, and clearly it's going to
8 give them a serious problem.

9 MR. SZALAJDA: Hold on. I'm going to
10 get lost in all these questions.

11 MR. NAYLOR: Go ahead. My next comment
12 is unrelated.

13 MR. THORNTON: Go ahead, can you do the
14 first one?

15 MR. SZALAJDA: What was the first one?

16 MR. THORNTON: Hell, that's why I'm
17 asking you to do it.

18 MR. SZALAJDA: We'll take the second one
19 first regarding the capacity. And one of the
20 reasons why we went to clarify or save on the
21 canisters, identifying a capacity, was try to get
22 away from the issue that, well, this is marked 15.
23 It's only good for 15 minutes. That's not the

1 intent.

2 And you have to keep in mind with where
3 these systems are going to be used that you have
4 active monitoring, or you should have active
5 monitoring going on, where you have identified and
6 quantified and controlled the exposures and you know
7 what the concentration is in the environment of a
8 potential contaminant.

9 By identifying, we feel by identifying
10 the capacity of the system, that we're giving a tool
11 for the hygienist on site to develop a change-out
12 schedule appropriate for the concentration of the
13 environment that the responder may be dealing with.

14 And we're in the process right now
15 within our group of developing guidelines to make
16 available to the community that hopefully clear up
17 any misconceptions or confusion about capacity
18 related to what the change-out sched -- they're
19 developing change-out schedules for the canisters.

20 One of the things that leads -- the
21 other issue about the stacking, and one of the
22 things that we've seen with the testing that's been
23 done over the past several years is that, depending

1 on the type of canister that a manufacturer may use
2 or the types of carbon or how the canister is
3 constructed, you may see significant differences in
4 how long a canister may perform for, pick on acid
5 gas for example, that it may meet the minimum
6 requirements for organic vapor, but we could test
7 for 120, 150 minutes on acid-gas capabilities and
8 the canister will still continue to provide the
9 required protection.

10 And we felt in instances like this where
11 the technology of the canister may have been
12 established to provide additional protections, that
13 it would be a penalty to both the user community as
14 well as the manufacturer not to be able to market
15 their product and let the market drive the need or
16 the capabilities for the stacking provision.

17 We completely agree with you on the
18 concept about the confusion level. And I mean to be
19 honest with you, I think that's something that,
20 longer term, we need to do in terms of, and will
21 continue to work on here over the next several
22 months with regard to the labeling that goes along
23 with these items.

1 I mean any time you pick up any
2 canister, it's an alphabet soup with regard to the
3 labeling and, you know, what the different letters,
4 the letters mean. And part of our intent as we move
5 forward is to try to clarify what the, on the labels
6 what particular protections are provided for each
7 type of canister.

8 I'll see if I can get back to try to
9 remember what your first, the first question was.

10 MR. NAYLOR: Sorry, resistance.

11 MR. SZALAJDA: Oh, (inaudible) on the
12 resistance, yes. Actually, that's a very good point
13 as well in looking at the resistance, that as we
14 move forward and continue to do research throughout
15 the summer, that will give us a better indication of
16 whether or not that we can use a percentage in terms
17 of identifying that and also looking at the other
18 standards that are in place that may uniform -- that
19 may use a uniformity criteria.

20 MR. NAYLOR: Okay. Just come back on
21 that, on the first point, before I move to my third
22 point, accepting what you say about the use times,
23 could we assume that you're going to be looking at

1 those issues with regard to the APR standard and
2 also the escape hood standard as well?

3 It would seem logical if that was
4 applied across the board, and in terms of the
5 education process that we're going to have to go
6 through with users, that there's a common theme in
7 terms of the use time of the devices and, you know,
8 this cost level seems logical. I question whether
9 we really need six, but that's something that we
10 need to know that we're going to have to get that
11 across to users.

12 MR. SZALAJDA: That's a very good
13 point. As we like to say, the process is very
14 dynamic. And obviously, as we learn more, if
15 there's impact on other standards we would certainly
16 consider that.

17 I think one thing, though, just before
18 we move along, on the escape respirator, one of the
19 considerations on using the 15 is that we were
20 looking-- or the, how the labeling is conducted on
21 escape respirators is that we were trying to take
22 into account for the type of population that would
23 be using the systems, that when you're talking about

1 the gas mask or the PAPR, you're talking about users
2 that have familiarity with the respirators and
3 follow the proper procedures and meet the OSHA
4 respiratory protection guidelines and have a
5 different knowledge base than the individuals that
6 may be using the escape respirators.

7 So at least with regard to the labeling
8 for escape products, we weren't too concerned about
9 15 or 30 with regard to what the potential wearer
10 may be, with the emphasis on being if you need to
11 put one of these devices on, you need to egress as
12 quickly as possible.

13 MR. NAYLOR: Just my final point.

14 I think the most fundamental issue about
15 the standard, and the one that's very, very finely
16 balanced and needs to be correct for the whole
17 community to go forward is this balance of the flow
18 rate testing of canisters.

19 And I think that's going to need some
20 work. I think everybody's aware if we go one way,
21 we're going to wind up potentially with products
22 that are very, very heavy and don't provide the
23 benefits that we expect. If we go the other way, we

1 wind up with products that (inaudible)
2 insufficiently tested.

3 And two things I would just comment.
4 One is that there doesn't seem to be a provision for
5 the breath-responsive unit that is nonetheless not
6 positive pressure. And these kind of systems have
7 existed for some time. And the fundamental benefits
8 of those systems is that the air is provided at
9 varying rates according to the demand of the user.

10 And the canister is smaller because the
11 total flow through that canister is generally
12 lower. So I would not like to see that benefit
13 lost.

14 The second point I'd make is on this
15 crisis provision, the 430 liters a minute. We've
16 seen where that number comes from. And I think
17 everybody accepts that number. But I'm curious as
18 to why -- clearly this is a one-off kind of flow
19 rate. It's not something that the canister will
20 experience maybe more than once during its use and
21 certainly no more than once each breathing cycle.

22 So it seems to me that to test the
23 canister at that constant flow rate is overburdening

1 the canister requirement very considerably and we
2 really need to look at something that mimics the
3 breathing rate performance at that panic situation,
4 which is after all a breathing pattern, not a
5 constant flow.

6 The other thing that we really need to
7 think about is are we going to test canisters at
8 constant flow or sinusoidal or some other flow
9 rate? And there's a lot of evidence now that
10 sinusoidal flow rates make a huge difference in the
11 result you get from canister performance.

12 MR. SZALAJDA: Thank you.

13 MR. LINKO: Bill Linko from Micronel
14 U.S. Quick question on the mechanical side of a
15 C2.

16 I'm assuming we're talking about a C2
17 type of filter with a treaded input; is that
18 correct? When you say a canister, you know,
19 mechanically, does it have a treaded input
20 essentially with an aperture at 1 square inch
21 outlet?

22 MR. SZALAJDA: We don't have any
23 connector requirements.

1 MR. LINKO: Well, the current ones that
2 are being used aerodynamically bother me because we
3 look at the pressure drops. If we look at the
4 pressure drops (inaudible) for orthopedic surgeons
5 we use three double-A's to give them protection. At
6 .97 -- 97 percent at .3 microns at 24 hours of
7 operation. That's only particular. (inaudible) but
8 we don't, not constrained by the 1-inch apertures.
9 For 32 per minute is not a problem. If you're
10 talking about 300, now you're starting to talk about
11 big pressure drops. So if there's flexibility, you
12 can do a lot of things.

13 MR. SZALAJDA: Right. Thank you.
14 That's a good comment.

15 One of the things that we've at least
16 initially heard from the user community is that
17 interoperability of canisters for the PAPR
18 application isn't really a requirement much -- which
19 is different than what we did on the gas mask.

20 MR. DUNCAN: Paul Duncan, Scott Health &
21 Safety.

22 Mentioned at the last public meeting. I
23 think it's this one thing I'd like to repeat. If

1 NIOSH is intending to pursue the uniformity
2 requirement between filters, I'd encourage you to
3 consider provisions for allowing the manufacturers
4 to group filters as operating units where their
5 quality system controls the range of pressure drops
6 within an operating group.

7 You know, for instance, if you have a
8 two-filter system, to package your filter in pairs
9 where you're controlling the quality between those
10 pairs and the user instructions indicates they're
11 going to be used in those operational units. That's
12 just one comment.

13 The other comment, just real quick,
14 something that Jim was saying, caution NIOSH in
15 establishing the sort of best in class in using some
16 of the EN standards, EN benchmarks, to make sure
17 that they're considering EN test methods. Because
18 there are some instances where the benchmark gets
19 pulled from the EN standard, then the NIOSH test
20 method gets applied to it. And you end up with a
21 totally different requirement. It's actually even
22 a little bit more tighter.

23 MR. SZALAJDA: Good comments. Thank

1 you, Paul.

2 MR. SIMON SMITH: Simon Smith, 3M
3 Canada. Just a question sort of linked into
4 operability.

5 You have high flow and moderate flow
6 systems. And are you making any provision to
7 prevent mix-up of the canisters that are intended
8 for moderate flow to be used on high flow systems?

9 MR. THORNTON: Well, that's a comment
10 that we've heard before. And yes, we are trying to
11 take that in consideration and determine a way to
12 prevent or help prevent that mix-up.

13 MR. SIMON SMITH: Thanks.

14 MR. SZALAJDA: I thought you guys were
15 going to get an early lunch break there for a
16 second.

17 MR. THORNTON: Here's the bad news.
18 Since you've heard my voice before, I won't even
19 introduce myself. I'm going to jump right into it.
20 They put me up here kind of a back-to-back. I guess
21 that's to get me off the stage so they can
22 continue.

23 This, the subject I'm going to cover now

1 is particulate testing. And as you can imagine,
2 service-life testing and particulate testing kind of
3 goes hand in hand because it gets back to the
4 airflow studies and how we're going to set those
5 airflows, what we're going to look at. Not only how
6 are we going to set those airflows but how are we
7 going to measure those airflows with the PAPR
8 units.

9 So for particulate testing -- I'll try
10 to run through this so that Ted can get up here and
11 finish you off for lunch. Particulate testing, very
12 similar again to the APR and the APER for
13 particulate testing. It's a P-100 filter.

14 And the first comment I always hear is,
15 well, PAPRs have high efficiencies. Well, for CBRN
16 standard, we're going to test those as a P-100
17 filter. It will meet 99.97 particulate filter
18 efficiency against DOP. So that will be the test
19 agent.

20 The testing, as with previous, will be
21 done after the durability conditioning. We'll stick
22 with the number that we've done for APRs and APERs,
23 which is 20 canisters tested against the DOP.

1 The additional nine canisters from the
2 cyclohexane test, service-life tests, after
3 cyclohexane service-life tests, those canisters that
4 have been exposed to cyclohexane go back for DOP
5 testing. There's nine of those. That's the three
6 high humidity, three low humidity and the three from
7 crisis provision.

8 The flow rates, constant-flow PAPR
9 tested at the airflow of the PAPR, in other words
10 we'll measure it to determine what it flows at, use
11 that number to test it. For multiples canisters
12 we'll do the same thing, and take the proportion.

13 Demand-responsive, again we're looking
14 at those same values of 115 liters a minute for
15 moderate breathing rate, 300 for high breathing
16 rate. Same concept though. Multiple configuration,
17 we cut those proportionally.

18 And we do, as -- we've stated this, it's
19 kind of repetition here, but we're going to develop,
20 try to develop ways of measuring the actual volume
21 of air through the canister over that specific
22 period of time. And that's probably done in
23 relationship to the breathing performance, the

1 breathing machine it's going to be used on.

2 We're aware that we need to perform
3 particulate tests, the same airflow as the PAPR
4 units supply. Right now all testing for DOP is done
5 at 85 liters a minute. But we're trying to look at
6 ways to develop tests to test it at the actual
7 airflow. So we're going to look at the amount of
8 air that comes through that canister.

9 Two separate concepts again that we're
10 going to look at. And we're looking at these both
11 at the same time, kind of evaluating both parallel
12 to see which is the better concept to use.

13 The first one sounds very easy. We'll
14 just have new equipment developed to perform DOP
15 testing. So we'll take the ones that we use right
16 now that are zero to a hundred liters a minute and
17 we'll just buy some new things to go from zero to a
18 hundred liters a minute.

19 The other concept that we can use is to
20 stick with the equipment that we currently have now,
21 which is the 8130 for DOP testing, and it uses it at
22 -- right now it can do approximately 110 liters a
23 minute and generate roughly 100 milligrams per cubic

1 meter for DOP. Kind of go through both of these
2 concepts. You can see the differences and see what
3 questions we have and what our concerns are.

4 The first one, the high flow tester
5 equipment, again, we would use just the same airflow
6 of what the PAPR unit actually uses, use that in
7 proportion for the canister. So we'd be testing the
8 canister individually.

9 An example of this, measured it -- or a
10 PAPR with 240 liters a minute, three canisters,
11 single canister would be tested at 80 liters a
12 minute and the loading proportionally reduced to 67
13 liters a minute. And that's currently what we do
14 now.

15 The other example is if a unit comes in
16 with a single canister, 240 liters a minute, we
17 would test the whole unit at 240 liters a minute
18 with a loading challenge of 200 milligrams.

19 The second concept that we're trying to
20 work -- and to go back to the first concept, that is
21 if we can purchase and have equipment that will
22 allow us to do the high flow testing, allow us to
23 perform high DOP testing.

1 The second concept kind of comes in if
2 that equipment cannot be produced, cannot be
3 maintained, we would look at just using the same
4 test equipment that we have now. And we're going to
5 introduce a kind of a different testing idea. We're
6 going to test test units sized proportionally for
7 the same effective surface area and geometry to the
8 airflow of the PAPR that's produced in production.
9 And this is equivalent face velocity.

10 So this is something we're looking at.
11 We're going to study this. We'd be able to test
12 those at the flow rates of approximately 100 -- or
13 approximately 85 liters a minute. And we have a
14 kind of a range there of 85 to 100 liters a minute
15 that we'd be able to work with the existing data.

16 The test units will be provided by the
17 manufacturer. They would be built with the same
18 specifications, just reduced in size, so that we
19 would test them at that flow range, 85 liters a
20 minute. The same geometry would need to be in place
21 also. So whether it's a fluted filter or a folded
22 filter, you would have to keep the same geometry.
23 We're just going to reduce the space.

1 To give you an example of this, a PAPR
2 with airflow of 240 liters a minute, two canisters,
3 and each canister has a surface area of 100 square
4 centimeters. You would produce test units that have
5 an area of 71 square centimeters. And they would be
6 tested at 85 liters a minute. The loading would
7 also be to reduce to 142 liters a minute -- or, I'm
8 sorry, to 142 milligrams.

9 And that's not -- that's a little
10 confusing. And I'll go through the calculations as
11 to how we come up with those so we can kind of
12 narrow down to how this calculation would be
13 performed.

14 In the example was 240 liters a minute
15 with two canisters. So each canister tested 120
16 liters a minute. That's beyond what our capability
17 would be. Set up a ratio, and sulfur X, you get 71
18 centimeters, or square centimeters.

19 Therefore the test unit, the effective
20 surface area would need to be built at 71 square
21 centimeters. And that's effective surface area, so
22 you'd have to take into account the glue that's used
23 to hold that medium in place. The same proportion

1 would be used to reduce that challenge to the
2 appropriate value of 142.

3 Along with this concept, using our
4 existing equipment, we would need to test the 20
5 test units against the DOP at the 85 liters a
6 minute. We'd also test 20 production canisters
7 after the durability testing. And that will pick up
8 -- that means we will actually test what's in
9 production. We'll be able to see the gluing that's
10 used there and the efficiency of the production
11 canisters.

12 And also the additional nine would come
13 from the cyclohexane testing to the DOP testing.
14 Those additional nine, if we look at those, the
15 three from crisis provision will and should be
16 exposed to a much higher flow rate. So that will
17 also test that medium to see that it can stand up to
18 that higher flow rate.

19 So requirements how we'll do this, for
20 the first one, it's easy. We go out and buy some
21 high flow DOP testers. That sounds very easy. But
22 actually getting DOP testers at high flow that can
23 generate the right DOP and that can be used and

1 maintained for certification is something we really
2 need to look at.

3 Second concept, we need a more thorough
4 study of this equivalent face velocity technique.
5 And right now we think we can do that, but we will
6 have to study how we're going to perform that and
7 then do some benchmark tests of test units.

8 So the question is how are we going to
9 make the decision which one to use. This may not be
10 an easy decision. But the first thing we're going
11 to look for is what we're doing right now, which is
12 input from the manufacturer and the user community.
13 Do we agree that this can be done, this equivalent
14 face velocity, or do we think that high flow DOP
15 testers can be used.

16 We'll look at analyses of purchasing and
17 maintaining those high flow DOP testers. That takes
18 some time to do. We have to go out and find
19 manufacturers to manufacture them and determine that
20 they can maintain them correctly for certification.

21 And we'll also need to do benchmark
22 studies for equivalent face velocity testing.

23 So those are the three areas we really

1 need to look at before we can establish this
2 particulate testing. Again, airflow of the unit is
3 an important piece of information in there also.

4 And that would be it for this
5 presentation. So if there's any questions?

6 MR. BERNDTSSON: Goran Berndtsson from
7 The SEA Group.

8 I'm getting really confused here. Your
9 second alternative, are you telling us that you want
10 us to make special filters that was not produced in
11 the ordinary manufacturing just so you can test
12 them?

13 MR. THORNTON: Yes.

14 MR. BERNDTSSON: So what kind of
15 certification does the end user have that this is
16 going to be what he actually is buying at the end of
17 the day? I mean you're going to have to hand-build
18 some filters because you can't expect us to build
19 special production units just for the sampling.

20 MR. THORNTON: We are going to have to
21 look at that also. That's a consideration we have
22 to take into account is can those test units be
23 produced.

1 MR. BERNDTSSON: Goes against all
2 principles of testing respirators, doesn't it, to
3 build specials for approval, special type of filters
4 for approval. It's difficult, if you want to take
5 samples out for verification of quality, et cetera,
6 how do you do that?

7 MR. THORNTON: Well, we are going to
8 test the 20 production filters also. And so we're
9 not just testing the test unit and then saying it
10 passes, it's certified, it goes out. We'll test the
11 test unit and we'll do additional testing to cover
12 the production to see how they're built and make
13 sure the quality is in there.

14 MR. BERNDTSSON: I hope that we solve it
15 through the first option because it sounds like a
16 nightmare to me.

17 MR. SZALAJDA: I think keep in mind
18 though too with looking at the equivalent face
19 velocity, you're looking at just the filter media
20 and not building mock canisters with the,
21 necessarily with the charcoal included, that we're
22 looking at the filter media.

23 And I think when you look at the

1 concept, you know, obviously doing something like
2 this is very different than what we had
3 traditionally done in certifying respirators.

4 (?) MR. NAYLOR: (Inaudible) support
5 what Goran just said. And I would add that one
6 thing that you will have to take account of is the
7 possibility that you will receive product which has
8 a single filter and nevertheless is a demand system
9 capable of very high flow rate. So the translation
10 from a small filter to that kind of filler is quite
11 a big leap of faith.

12 The second thing I would say is that the
13 filter performance of particulate filters is not
14 just arising from the media. The media performs
15 very, very differently when you put it in a filter.
16 And you cannot evaluate the performance of a
17 particulate filter just by looking at the media.
18 There are a number of effects that are not fully
19 understood, but they are big effects, order of
20 magnitude effects.

21 The other thing I want to say in support
22 of the first option is that if you put this in the
23 standard that this is a requirement, then I'm sure

1 that the filter test manufacturers will look to
2 develop that very quickly because all the
3 manufacturers are going to want to buy them.

4 MR. THORNTON: Thank you.

5 MR. SZALAJDA: Thank you.

6 MR. KOH: Hello. My name is Krank Koh.
7 I'm from the University of Maryland. Just a quick
8 question.

9 Most of these PAPRs are
10 battery-charged. Are you going to be measuring the
11 flow rates when it's fully charged or at 80 percent
12 of its max? Just wondering how you're going to
13 determine the flow rates and what, I guess, charge.

14 MR. THORNTON: Right now we would use a
15 fully charged battery. We'd follow the user's
16 instructions on how to charge that battery
17 appropriately.

18 MR. BERNDTSSON: This raises another
19 question. I mean, the performance, I think Krank
20 was very -- it was very important what he said
21 here.

22 The performance you're looking for, is
23 that going to be also -- I mean are we not talking

1 about filter here now, we're talking about the
2 entire unit when you're saying that we want to
3 maintain positive pressure? Is that at the end of
4 the battery life or the beginning of the battery
5 life, or an average of in between or -- how are you
6 going to ensure that?

7 MR. THORNTON: Well, it would be, the
8 operational battery life is what the -- right now
9 the concept is for the breathing performance to be
10 performed over the operational battery life. And
11 during that operation, whether that be four, six,
12 eight hours, maybe even two hours, we're not sure,
13 it would need to stay positive during that.

14 Now, we would start with a fully charged
15 battery, again, going back to the user's instruction
16 manual on how to charge the batteries, start that
17 with a fully charged unit.

18 MR. BERNDTSSON: Okay. In other words,
19 during the length of the battery, it has to perform.
20 So if it is a four-hour battery, it has to perform
21 to meet a positive pressure requirement at four
22 hours?

23 MR. THORNTON: Correct.

1 MR. SAVARIN: Mike Savarin, ICS Labs.

2 One thing I just feel I should say in
3 case there are some people who may not be aware of
4 it, the use of surrogate filters to perform and
5 stand in for actual filters is a completely, I won't
6 say well-understood, well-practiced principle and
7 behavior, especially when looking at particulate
8 filters and how well those devices fit the user in
9 the establishment of that fit.

10 Very often a surrogate filter is made,
11 and it should mimic in some way the flow
12 characteristics of the parent device. It's just a
13 small bit of data that goes in to support the entire
14 approval. So this kind of approach where, oh my
15 God, I don't know what's going to happen, it's a
16 nightmare, is just completely untrue.

17 The other thing is there are a number of
18 problems with trying to find high flow devices,
19 particularly with certain agents. The current
20 protocol requires the use of DOP, which does have
21 obviously some effects that are -- that if we can
22 avoid it, you know, we should try to avoid it as
23 much as we can.

1 There are a number of devices out in the
2 marketplace that look at very high flows and high
3 concentrations of aerosol generation, but very
4 frequently will necessitate the use of a different
5 type of particle with different characteristics,
6 which is a whole new nightmare itself. Much more,
7 much more the nightmare than you might currently
8 think.

9 If we could get, especially the big
10 players, because this is the kind of device that's
11 going to be significant cost. If we can get the big
12 players in the marketplace to chase up and come up
13 with a device, that would be fantastic.

14 But normal cycle times for these kind of
15 high flow devices operate in years. So you've got
16 to factor that in when you say, hey, yeah, let's go
17 for the first option. We'll have a machine in six
18 months. Dream on, you know.

19 Reality is that it's going to take some
20 considerable time. There are some big problems with
21 trying to get high flows, maintaining the
22 distributions of particles and keeping those things
23 in a shape that means they can be used in accurate

1 test modes and are not changed by the nature of the
2 media.

3 So you have to kind of factor all this
4 in when you're trying to say we should go for one
5 option versus another option.

6 Oh, one other small thing. I've always
7 had a mental issue with the use of taking
8 instantaneous DOP measurements in relation to the
9 use of HEPA filters or HEPA classification filters.
10 I heard something that was like a good step in a
11 really good direction that goes we're moving away
12 from the concept of a HEPA filter to using the P-100
13 filter.

14 Now the current description for the
15 P-100 filter does necessitate that a loading
16 characterization is performed on the filter media.
17 Unfortunately, there was no mention of that. We're
18 just going to use a P-100 filter. You're going to
19 supply the 20 filters, and then we're going to do a
20 test which is basically instantaneous, unless I've
21 misunderstood something.

22 Now, if that's true and you don't
23 measure the characterization, then actually you

1 haven't established that it is a P-100 filter.

2 MR. THORNTON: Well, just to make a
3 point real quick, I think you did misunderstand
4 this. We would be doing the loading and looking
5 into filter efficiency. On the -- in the back table
6 back there, there's a letter to manufacturers that
7 talks in great detail about the actual P-100
8 testing.

9 MR. SAVARIN: Okay.

10 MR. THORNTON: And that would be very
11 beneficial. You may have already seen the letter
12 before.

13 MR. SAVARIN: Yeah.

14 MR. THORNTON: But yes, we are going to
15 follow that P-100 testing like that. We will load
16 the filter and look for the efficiency.

17 MR. SAVARIN: Okay. Because that was
18 missed out from. And there may be people who didn't
19 understand that, because that in itself is quite --
20 that's important too.

21 MR. THORNTON: Yes, is it. And P-100
22 testing is, there's a lot of detail that's --

23 MR. SAVARIN: Right.

1 MR. THORNTON: -- very specific.

2 MR. SAVARIN: Okay. I just think a
3 loading comment should have been placed in the
4 record.

5 MR. THORNTON: And thank you for your
6 comments before because that kind of wraps up what
7 the concern is. It is very difficult. Either way
8 has its good points and bad points.

9 MR. SAVARIN: Right. That's it. Thank
10 you.

11 MR. SZALAJDA: Thank you very much.

12 We'd like to move ahead with the next --
13 oh, okay. Last one.

14 MR. BERNDTSSON: Have you considered
15 raising the allowable leakage from 99.97 to 99.997
16 of the ordinary flow rate? And that rate going
17 through you'll see what's happening? And that's
18 another way of probably testing it.

19 MR. THORNTON: Are you saying lower the
20 efficiency or raising?

21 THE ARBITRATOR: I'm saying raise the
22 efficiency. In other words, today we are asking for
23 99.97. If you have 99.997 of the ordinary testing

1 flow rate and maybe do some correlation, see what
2 happens if you go to that. Then we could use the
3 same equipment as you're using today.

4 MR. THORNTON: Yeah, I don't think
5 that's a concept that we've thought about and looked
6 at yet. So we would welcome any comment on that.
7 And we'd have to investigate that.

8 MR. SAVARIN: I think it's well worth
9 investigating. I think it's a great idea.

10 MR. SZALAJDA: Thank you.

11 And this will -- Ted Klemetti will be
12 our last presenter before lunch.

13 MR. KLEMETTI: Hi. I'm going to talk to
14 you today about battery requirements for the new
15 PAPER concept.

16 Background into these battery
17 requirements. I looked at several manufacturers'
18 capabilities within the battery manufacturing
19 industry, within electronic device industry and
20 within electronic component industries.

21 Numerous manufactures state the ability
22 to maintain all of our requirements or meet our
23 requirements. These requirements are somewhat based

1 or similar to the CBR and SCBA and industrial PAPR
2 in that with the SCBA you have a percentage time
3 warning or a percentage of cylinder remaining, will
4 do the same thing with the battery.

5 Requirements for the battery. It will
6 be tested to operational battery life plus 20
7 minutes. This is very similar to what Terry talked
8 about earlier with the breathing performance.

9 We're looking at doing this under
10 similar conditions to silica dust loading or
11 actually silica dust loading. Under a worst-case
12 condition, we would simulate a load level that's
13 equivalent to the low flow indicator or just before
14 it, and test the battery life at that, with that
15 method.

16 And then the third methodology would be
17 to do battery performance testing based on maximum
18 total draw of each of the components within side the
19 PAPR; i.e., the motor, the LEDs, the chips, so on
20 and so forth.

21 Based on the worst-case condition or the
22 equivalent silica dust testing, we would have to
23 develop a resistance curve for silica dust or an

1 equivalent total load, and apply either the total
2 load or the resistance curve over the operational
3 battery life. Very similar to what Terry was
4 talking about earlier.

5 Another requirement that we put in for
6 the battery or for the PAPR in relation to the
7 battery is a 15-minute operational battery life
8 remaining warning. This must be apparent and allow
9 for an additional 15 minutes at the desired flow
10 rate, which is the flow rate that maintains the
11 positive pressure within the face mask or the
12 breathing zone.

13 This 15-minute warning would be tested
14 during operational battery life testing or in a
15 similar method after operational battery life. For
16 instance, if you don't happen to hit upon the
17 15-minute warning within the operational battery
18 life for whatever reason, we would continue the test
19 or start with a not fully charged battery to
20 accommodate the 15-minute warning.

21 And this, the PAPR would also have to be
22 capable of demonstrating operational service life
23 and/or battery expiration date.

1 For a nonrechargeable battery used in a
2 PAPER, indicators may be active, which would be an
3 indicator that alerts the user when the 15-minute
4 warning is reached; or passive, in layman's terms,
5 or in my opinion, it alerts the user when 15-minute
6 warning is reached.

7 Oh. That's not right. It alerts the
8 user when the -- up until the point of where the
9 15-minute warning is reached. So one is the light
10 comes on when you hit the 15-minute warning. The
11 other way would be the light is constant till you
12 hit the 15-minute warning.

13 For the nonrechargeable battery, you'd
14 also have to have the expiration date. It would
15 have to be visible on the battery. Once again, we
16 hit on the 15-minute operational battery life
17 remaining warning.

18 Rechargeable battery. Likewise, the
19 indicators may be active or passive. We're looking
20 into some sort of end-of-cycle life or a number of
21 recharges being noted somewhere in the user's
22 instructions or on the manual or looking at doing
23 this in the quality assurance. And it also must

1 have a 15-minute operational battery life remaining
2 warning.

3 The user's instructions must list all
4 applicable battery information. Remaining
5 operational battery life must be sufficient to
6 sustain desired flow rate. And methods of warning
7 shall be specified by manufacturer and in the user's
8 instructions.

9 Another requirement for the PAPR is the
10 low flow indicator. It will be tested using the
11 same mechanism that tests operational battery life
12 or similar mechanism to lower the flow level until
13 we reach the flow that should indicate the -- or
14 should activate the low flow indicator.

15 Once again, this can be passive or
16 active, similar to the battery requirement, the
17 15-minute battery. It can be flow- or
18 pressure-based, and must be fully explained in the
19 users instructions.

20 Some of the shortfalls towards looking
21 at a particulate loading equivalent testing would be
22 needed time to evaluate resistance changes during
23 current particulate filter testing. We'd also have

1 to develop a method to add the resistance change
2 over the operational battery life.

3 We'd have to ensure that this method has
4 appropriate flexibility to incorporate new
5 technologies and designs in PAPRs. And this is a
6 potentially very time-consuming test procedure.
7 I.e., you have a 12-hour battery life. That means
8 this test lasts 12 hours, 12 hours and 20 minutes.

9 For developing a battery load test,
10 we're looking at -- and once again, this is
11 something that we're doing simultaneously. We're
12 looking at both at the same time.

13 This would be to develop a method to
14 determine full load or current draw of the system
15 for all potential PAPR designs; ensure that the
16 method has appropriate flexibility, similar to the
17 previous test; evaluate reducing test time
18 dramatically over the total operational battery
19 life.

20 There are methods out there to do
21 battery testing where you only have to run the
22 battery for two hours to evaluate the total life of
23 the battery. And time required for test equipment

1 ordering and validation testing is another shortfall
2 or consideration.

3 Time lines. To complete the particulate
4 equivalent test, analysis of resistance curve
5 associated with particulate testing completed June
6 '04. Test method to apply the resistance curve,
7 we're looking around July this year. And
8 verification testing would happen sometime around
9 August or September of this year.

10 For the battery performance test, or the
11 total load test, current draw determination
12 procedures, sometime between May and June.
13 Hopefully earlier in June. Test method to apply the
14 current draw completed around July. Equipment
15 ordered and delivered, August-September time frame.
16 Verification testing completed September-October
17 time frame.

18 Any questions?

19 MR. LINKO: Bill Linko from Micronel
20 again. A quick question.

21 In a case of rechargeable batteries
22 (inaudible), are you going to specify the lowest
23 battery voltage allowable, i.e., you know, from 4.2

1 down to 3 or 2.6?

2 I can play tricks with that by going
3 down to 2.5 and getting more hours of operation.
4 But it limits the number of charge cycles I can do
5 with a battery. So if that's not defined, I can
6 play tricks with that.

7 MR. SZALAJDA: They're good points.
8 Thank you.

9 MR. BERNDTSSON: Have you had any --
10 Goran Berndtsson from The SEA Group.

11 Have you had any thought process around
12 how to verify for the positive pressure demand
13 system (inaudible) using a system with a motor
14 accelerate and deaccelerate? The biggest load and
15 highest killers of the batteries is of course this
16 accelerations which draws a lot of amps.

17 Have you had any thought process how
18 you're going to be able to look on that now? I
19 don't have a solution. I guess that --

20 MR. SZALAJDA: That's a good point as
21 well. That's one of the things, since we haven't
22 really done any benchmark testing on the battery
23 yet, that's something we can consider during the

1 benchmark testing for that.

2 MR. BERNDTSSON: You're welcome to
3 communicate with our guys on this one.

4 MR. SZALAJDA: Thank you.

5 MR. KOH: Krank Koh from University of
6 Maryland again.

7 Just curious, battery characteristics
8 may be different for each manufacturer. Some may
9 exponentially decline. Some may actually be plateau
10 and then drop after a certain period. When most of
11 these manufacturers, they specify 12 hours, they
12 normally don't sustain that flow rate for that whole
13 12 hours.

14 Are you going to set some standards so
15 that if a manufacturer warrants let's say 140 liters
16 per minute for at least 12 hours, that that would
17 not qualify, in other words? Because the battery
18 life would probably not sustain 120 liters for 12
19 hours. It would start going down.

20 MR. KLEMETTI: I think the answer to
21 that is that that's what we're looking at doing in
22 the battery performance testing. That is we're
23 looking at ensuring that we're going to have the

1 flow rates to maintain positive pressure throughout
2 the entire stated battery life by the manufacturer.

3 MR. LINKO: Another quick comment from
4 Bill Linko.

5 On the alarm indication, like if you
6 have a choice of visual, audio or vibratory, in the
7 event of having it on your back where you can't see
8 it, visual isn't any good, okay. Hearing, the noise
9 atmosphere, audio's no good. Vibratory, maybe all
10 conditions. So do you want all three (inaudible)?
11 You want one.

12 MR. SZALAJDA: I think sort of the
13 intention was to leave it up to the discretion of
14 the manufacturer given the particulars associated
15 with his equipment. Because one of the things that
16 we're looking at in terms of technology is that, you
17 know, say potentially a heads-up display in your
18 facepiece that, you know, a light could be an
19 appropriate warning, you know, for that type of
20 thing. So at least at this point it was left open
21 to the discretion of the manufacturer.

22 All right. Well, thank you. I think
23 it's about 10 after 12:00. Maybe we can reconvene

1 at 10 after 1:00. Thank you.

2 Excuse me, one again, there's lunch
3 outside the doors. There's also a Subway and a
4 Chinese restaurant out in the parking lot and
5 Jackson's around the corner.

6 (Lunch recess taken from 12:10 p.m.
7 until 1:15 p.m.)

8 - - -

9 A F T E R N O O N S E S S I O N

10 - - -

11 MR. SZALAJDA: We'll go ahead and start
12 now.

13 Frank Palya is going to review our
14 conceptualizing for the human factors and the
15 durability testing.

16 MR. PALYA: Thank you for attending. My
17 name's Frank Palya. I'll be discussing some of the
18 human requirements.

19 Human factor requirements that I'm going
20 to be discussing is the field of view requirement,
21 the fogging, the communication, and the haze
22 luminant transmission (sic) and the abrasion
23 requirements. These requirements are -- and test

1 procedures are the same requirements as the
2 air-purifying gas mask for the CBRN.

3 First thing I'd like to discuss is the
4 field of view requirement. In order to pass the
5 field of view requirement, a PAPR must obtain a
6 score greater than or equal to 90 points. This will
7 -- part of one of the pieces of test equipment will
8 be the aptermometer (phonetic) that meets the
9 requirements of EN 136 or equivalent.

10 This will be used to perform the field
11 of view test. And what we're going to do is get a
12 respirator size that best fits the head form of the
13 aptermometer. It will be the average score of the
14 best of three fittings of that same respirator
15 size.

16 The visual field score was derived from
17 the American Medical Association guidelines, 90
18 points, which translates basically, functionally
19 basically into a normal vision.

20 This slide represents, is a sample
21 respirator that we tested for field of view. This
22 particular one got a visual field score of 96. As
23 you can see, there's 22 points in the upper two

1 quadrants, 27 in the third, and 25 in the fourth
2 quadrant.

3 The grid assigns at the 7 -- 70-degree
4 mark, it assigns 110 points. This is about the
5 70-degree point right there. And there's 110 points
6 within that fixation. And when you put the
7 respirator onto the head form and you illuminate it,
8 it will shine and it will -- you mark the outline of
9 the light generated. And then you translate that
10 and you go ahead there and count the points confined
11 within the perimeter of this.

12 The next requirement I'm going to be
13 speaking of is the fogging resistance requirement.
14 The requirement is that the average, the subject's
15 average visual acuity score must be greater than or
16 equal to 75 points.

17 Three visual acuity scores will be
18 taken. This will be when the subject will first
19 walk into the environmental chamber, don the
20 respirator, a visual acuity test will be given. And
21 then after five minutes of exercise on a treadmill,
22 during that two-minute rest period after a
23 five-minute exercise, another visual acuity test

1 will be provided.

2 And then after another five minutes,
3 another visual acuity test will be administered.
4 And it will be an average of all those scores
5 there. And each subject will have to get above the
6 75, greater than or equal to 75 points.

7 The test conditions and the equipment
8 that's going to be used. We're going to have the
9 environmental test chamber set at minus 21 C. There
10 will be two PAPRs of each size cold-soaked in the
11 environmental chamber. So when the subject enters
12 the chamber, they can go ahead there and don it and
13 start the test.

14 The human subjects must have an eyesight
15 that is better than or equal to 20/40 vision. As
16 you can see, there's some of the test equipment, the
17 environmental chamber, the treadmill, the low acuity
18 chart. This acuity chart has a 22.5 percent
19 contrast. There are other ones out there that have
20 10 percent contrast, but this one has a 2.5 and
21 that's the one we've been testing with.

22 The next requirement is the
23 communication requirement. For this requirement,

1 the overall performance rating has to be greater
2 than or equal to 70 percent. This test will be
3 conducted with the motor blower operating.

4 The communication test. When we test
5 this requirement, we will be using the modified
6 rhyme test. The background noise will be 60
7 decibels, consisting of a broad band of pink noise.
8 The distance will be 10 feet from the speaker group
9 to the listening group.

10 There will be 10 MRT trials, yielding 15
11 scores with the respirator and 15 without the
12 respirators. The listening group will consist of
13 three listeners and then five speakers. Each group
14 is required to have a female subject.

15 The last requirement I'm going to be
16 talking about is the haze luminous transmittance and
17 abrasion resistance. The initial haze, when we get
18 the samples in, they will have to have -- pass an
19 initial haze requirement of less than or equal to 3
20 percent or initial luminous transmittance -- or and
21 initial luminous transmittance of greater than or
22 equal to 88 percent.

23 Then once those are performed, we will

1 abrade the specimens and then the haze shall not
2 increase by no more than 4 percent nor should the
3 luminous transmission decrease by 4 percent.

4 This is some of the test equipment that
5 NIOSH uses to test this requirement. It's the haze
6 guard haze meter by BYK Gardner, Model HB 4727. An
7 equivalent could be used. This is done in
8 accordance with ASTM D-1003.

9 And for the abrading machine we use the
10 Taber abrasive machine or equivalent. But we
11 typically use the Taber one. And that's in
12 accordance with ASTM D-1044.

13 For the specimens that are acquired,
14 there's going to be four -- three specimens
15 required, three abraded, three unabraded. These
16 specimens are 4-inch square. These specimens are
17 not going to be actually cut from the lens material,
18 but it will be the same type of material and the
19 same protective coatings will be applied as in
20 regular production. And it also shall have the same
21 nominal thickness as in the dominant viewing area.

22 After the lenses are abraded, they shall
23 be cleaned in accordance with ASTM 1044, or as

1 suggested by the respirator or the PAPR
2 manufacturer's user's instructions.

3 Again, the test methods are ASTM 10 or
4 1003 for the haze and luminous transmittance. And
5 for the abrasion, surface abrasion, we use the ASTM
6 1044. The abrasion wheel will be a CS10F Taber
7 wheel and the load will be under a 500-gram weight.
8 It's going to be 70 revolutions.

9 The issues and testing and time lines,
10 this time we really don't see that many issues with
11 it because, again, these are the same tests that we
12 were using in the CBRN gas mask and we've been
13 performing these tests, kind of refined these
14 testing methods.

15 However, we will still get some
16 benchmark testing done. We're going to go do some
17 benchmark testing to get three to four PAPRs per
18 manufacturer with a minimum of three manufacturers.
19 We'll go through the procedures. And we believe
20 that the verification testing should suffice, will
21 be the same for the benchmark.

22 Time lines we figure around September
23 2004.

1 So in summary, these are the
2 requirements for the human factors requirements and
3 test procedures that were used.

4 At this time I'll address any of your
5 concerns.

6 MR. SAWICKI: Jack Sawicki,
7 GlobalSecure.

8 Your intention here I guess is to get
9 rid of out, of the marketplace of any of the
10 hooded-type products that the hospitals are using
11 primarily now?

12 MR. PALYA: That wasn't our intention.
13 Are you referring to the haze luminous transmittance
14 (inaudible)?

15 MR. SAWICKI: Well, possibly that, but
16 like the abrasion and like the cold-temperature
17 tests and things like that.

18 It seems like there is an area in the
19 market that this is sort of going to do away with.
20 And a lot of those are really popular products. So
21 I was just wondering how you'd address that.

22 MR. PALYA: Right. Well, that was not a
23 consideration. That was not an intent.

1 MR. SAWICKI: Because this seems more
2 directed towards a mask version of a PAPR. And this
3 would sort of put you towards a like Affirm or the
4 Swedish TSI-type hood rather than the continuous
5 flow which is throughout the marketplace now.

6 MR. PALYA: Correct. You're right. A
7 lot of these were written around the tight-fitting
8 facepiece or traditional tight-fitting facepieces.
9 We really have -- again, we're going to try to do
10 this benchmark testing. So we're going to learn a
11 lot from that. And then we'll see how that all
12 turns out.

13 MR. SAWICKI: I had previously put a
14 comment in suggesting that a category be established
15 similar to the escape hood with characteristics --
16 or escape respirator I guess that you had
17 previously. Was that taken into consideration at
18 all when you developed this?

19 MR. PALYA: Not really, no. We were
20 just going to go ahead and look at these
21 requirements. And again, we'll look at some of
22 these and see how they pan out later.

23 MR. DUNCAN: I apologize for walking in

1 late and if you guys mentioned this, I severely
2 apologize.

3 Paul Duncan, Scott Health & Safety.

4 I would like to encourage you guys to
5 consider changing the abrasion resistance
6 requirement as instead of having an increase as to
7 instead have an absolute value. The way the current
8 standard is written, for instance, let's say if a
9 manufacturer has a hood or facepiece and luminous
10 transmission prior to abrasion is 95 as opposed to
11 somebody who's 88.

12 And they both -- let's say the one
13 that's 95 increases by 5 and goes down to 90;
14 whereas the one that's 88 only increases by 4 and
15 goes down to 84. You've actually by your test
16 procedure have disqualified the mask that actually
17 has a net better luminous transmission.

18 It seems like, you know, that we should
19 look more what best serves the end user. Is it the
20 increase or actually the absolute value that's
21 really to base the performance standard on.

22 And the same thing with the haze, you
23 know. If a person starts out -- if a manufacturer

1 starts out with a haze requirement that's only 1 and
2 it increases 5 up to 6, or if somebody starts at 3
3 and it increases 4 up to 7, you've knocked out the
4 person who has a lower final haze value just because
5 of the standard's written. So I'd really encourage
6 you guys to reconsider that for the next standard.

7 MR. PALYA: Yeah, well, we were -- we
8 thought of that during the development of that. And
9 what we were looking at when we actually do the
10 abrasion resistance, we were looking at the feature
11 of the lens material to really abrade resistance,
12 okay. We weren't really looking at the end value,
13 but just so much the ability of the lens material to
14 abrade the resistance, the difference in it after
15 being abraded by that.

16 MR. DUNCAN: Again, in answer, you're
17 sort of evaluating material instead of evaluating
18 the performance requirement and how it affects the
19 user. That's why that --

20 The other comment I would make is I'd
21 appreciate if you guys would consider better
22 clarifying protective coverings or overshields and
23 things like that. You know, I've seen some things

1 out there where, you know, maybe protective coatings
2 on something or an accessory which you put over your
3 primary lens.

4 I think for everybody that may be
5 submitting options like or trying to take that
6 approach, you'd better clarify as how you're going
7 to handle the haze test and the luminous test and
8 those requirements with regards to additional
9 coverings.

10 Okay. Thank you.

11 MR. SZALAJDA: I just wanted to add
12 something I guess on the comment that Jack had made
13 about that he made at the last public meeting.

14 I think one of the things that in
15 reviewing the comments that came in that we try to
16 keep in mind for PAPRs in general is that we want to
17 define minimum requirements that could be used
18 across the board, whether it's an escape PAPR or,
19 you know, tight-fitting or loose-fitting, whatever
20 the requirement may be.

21 And I think once we get in and get to
22 evaluate some of them in the benchmark testing and
23 see how things perform, it will, that will shed some

1 light onto that topic.

2 MR. PALYA: Okay. Continuing on, I'm
3 going to be discussing the durability requirements
4 for the PAPR.

5 What I would like to talk about first is
6 the purpose and the goal, the assumptions that we
7 made when we developed these test requirements, the
8 minimum packaging configuration, and the battery.
9 It's also going to go, undergo the environmental
10 testing and the transportation testing and its
11 minimum packaging configuration.

12 Some of the rationale we came up with
13 when we were developing these test procedures. The
14 purpose of this test is to perform the environmental
15 storages and the shock tests was to quantify
16 durability and to detect any initial life cycle
17 failures. We wanted to ensure that after it
18 underwent this, that it would provide adequate
19 respiratory protection, and also to ensure that
20 there was integrity inherent in the design of the
21 PAPR.

22 Some of the assumptions on driving that
23 was these test conditions were going to be induced

1 by the user that they may experience at the point of
2 issue. So the PAPER will experience these conditions
3 from the point of issue.

4 And also we want to look at that there's
5 still going to be maintenance inspection shall be
6 performed in accordance with Department of Health
7 and OSHA regulations. This is for an industrial --
8 this is not for industrial-use scenario, but for
9 CBRN emergencies.

10 The test conditions were tailored to
11 U.S. meteorological weather conditions and U.S.
12 roadway conditions. Also that, you know, some
13 people will say that, well, the PAPER will never
14 experience these conditions.

15 Well, we really don't know that. There
16 is a potential for these PAPERS to go ahead and
17 experience these conditions, just because of the
18 different operation missions that the users may --
19 that may be using them in. These tests are not
20 intended to represent the entire life cycle, but
21 rather just again to identify some initial life
22 cycle failures.

23 Now, we used Mil Stand 810-F as the

1 principal guidance document because a lot of these
2 test procedures were already established. And plus
3 Mil Stand 810 requires that when developing these
4 tests you go ahead there and look at the
5 operational, the potential operational platform that
6 they could be used under. And you design your test
7 around the potential conditions that they may
8 experience.

9 Right here is the flow chart of the
10 testing. As you can see, the PAPR and the battery
11 and the canisters, they all go through high
12 temperature, low temperature, humidity and
13 vibration. And then after that, the canisters alone
14 get subjected to the rough handling drop test.

15 After the durability testing, they will
16 be performance -- they'll just undergo the regular
17 performance testing. Specifically for this one, it
18 will be like a lot of the agent permeation
19 resistance as to the GB and HD. And for the service
20 life, it will -- the canisters will undergo their
21 gas and service-life testing.

22 These, the CBRN PAPR and canisters will
23 be subjected to the durability testing and minimum

1 packaging configuration. And minimum packaging
2 configuration will be recommended by the
3 manufacturer in its user's instructions.

4 The batteries will also be conditioned
5 in this minimum packaging configuration. And that
6 also will be as recommended by the manufacturer of
7 the PAPR in the user's instructions.

8 I wanted to point out here that after
9 they, the PAPR and the batteries and the canisters
10 go through the environmental storage and the
11 transportation storage, that the batteries will be
12 either installed into the motor blower unit, or if
13 they're not already installed in there, that they'll
14 be put in.

15 And then a functional test will be
16 required where you go ahead there and turn on the
17 motor blower unit. And there's no time limit. It's
18 just required to function. Even if you go ahead and
19 turn it on and an alarm sounds, that will be
20 adequate to pass this test.

21 We're doing this so that we can go ahead
22 there and determine any interface problems or
23 operational problems with the units after it went

1 through the durability testing.

2 The batteries. After this functional
3 testing, the batteries will be recharged if they're
4 rechargeable batteries, or replaced if they're
5 replaceable batteries. And then they'll go to their
6 subsequent testing, GB and HD testing.

7 The minimum packaging configuration is
8 protective packaging that the end user shall store
9 or maintain the PAPR and the components inside after
10 they are issued.

11 The user's instructions shall identify
12 the minimum packaging configuration and shall direct
13 the end user how to store and maintain the PAPR and
14 the components while it's in the possession of the
15 end user. The level of minimum package
16 configuration is left to the discretion of the PAPR
17 manufacturer.

18 Any overcases, packaging over and above
19 the minimum packaging configuration will not be
20 durability tested. In other words, we'll just go
21 through, conduct the durability tests and the
22 minimum packaging configuration.

23 And the end user will be the person who

1 will derive protection from the PAPR by wearing it.
2 It is assumed the end user will store -- will be
3 responsible for storing it and maintaining it and
4 having it in his possession.

5 The high temperature, these are the
6 ones, the conditions that we're proposing. The high
7 temperature storage will be performed in accordance
8 with Mil Stand 810-F. And this will be for a
9 three-week period. It's a diurnal cycle, so at the
10 highest temperature there at 160, it will probably
11 be there maybe an hour, hour and a half out of a
12 24-hour cycle and then it will cycle back down to
13 95.

14 This will be conducted for a three-week
15 period. Then after that high temperature cycle,
16 then it will go, be tested for low temperature
17 storage according to Mil Stand 810-F, Method 502.4.
18 But this will be a constant cold at minus 31 C for
19 three days. And then after that, the humidity will
20 be for a five-day cycle. And that also will be on a
21 diurnal cycle.

22 After the environmental storage, then
23 the items will be transportation-tested for

1 vibration according to Mil Stand 810-F, Method 514.
2 It will be conducted in the vertical, longitudinal
3 and the transverse positions. It will be done for
4 12 hours.

5 Typically how they do this, they'll test
6 it for 12 hours in a longitudinal position. And
7 then what they'll do is they'll rotate this item,
8 because the table normally just shakes left to
9 right, so they'll rotate the item and then they'll
10 test it for another 12 hours. And then at that
11 point, then the table will be, have an up-and-down
12 motion. That's when they'll test the vertical. And
13 that will be a total of 36 hours.

14 The next test, the canisters are just
15 going to undergo, this is a rough handling and drop
16 test. That will, the canisters will be dropped once
17 on one of the following three axes. This -- it will
18 be from a three-foot drop onto a bare concrete
19 surface.

20 The first rationale we get, just the
21 high temperature simulates the storage in the trunk
22 of a vehicle. And we were looking at different
23 areas within the United States, areas such as like

1 New Mexico, Arizona. And we felt there wouldn't be
2 unusual for a responder to go ahead there and leave
3 their PAPR in the back of their car or outside in
4 these conditions.

5 We went ahead there and we chose a
6 three-week period because of prior RDECOM's
7 experience, whereas that if there was to be a
8 problem with the respirator, it normally pops up
9 within the three-week period.

10 Then the low temperature test, again
11 we're looking at climate areas within the northern
12 United States. And then the humidity regions will
13 be areas such as Florida. They were -- the test
14 period, three for cold and five for humidity, again,
15 that was out of -- recommended by Mil Stand 810-F.

16 And the vibration simulates the
17 transportation over 12,000 thousand miles of road.
18 It's not an extreme rough-handling condition. These
19 items are tested in the unstrained configuration.

20 Some of the issues, testing and time
21 lines we foresee, perhaps we'll perceive some
22 battery survivability maybe in the test fixture
23 itself. Maybe testing the containment fixture we

1 might have to build another one so it will
2 accommodate the larger size PAPRs. Maybe the test
3 procedures may have to be tweaked a little bit.

4 But again, we're going to go do some
5 benchmark testings on four to five PAPRs per
6 manufacturer, and with a minimum of three
7 manufacturers, and then see how they turn out. And
8 then we might have to, either we could use the same
9 STP as the air-purifying respirator or maybe, we may
10 have to develop another standard test procedure.
11 But after that, then we'll do the verification
12 testing on that particular test procedure. And that
13 should be done somewhere around October.

14 So in summary, enclosed is the matrix
15 for the proposed durability testing. We feel that
16 these tests are critical to ensure the CBRN PAPR is
17 durable enough to adequately protect the user and
18 that there is integrity inherently built into the
19 design of the PAPR.

20 This concludes my presentation. At this
21 time I'll take any questions. Thank you.

22 MR. BERNDTSSON: We aren't going to let
23 you get away without having any questions, eh?

1 MR. PALYA: Yeah, that's unusual, isn't
2 it?

3 MR. BERNDTSSON: Yeah, that's right.
4 That's right.

5 Goran Berndtsson, The SEA Group.

6 You had the slide, the slide before the
7 minimum packing, can you bring that back up again?

8 MR. PALYA: Sure. That one there?

9 MR. BERNDTSSON: Yeah. You had
10 something where you said it has to start, you have
11 to put -- after the testing, the APR to start. But
12 it didn't have to --

13 MR. PALYA: Okay.

14 MR. BERNDTSSON: Okay. So what
15 immediately after durability and ambient
16 conditions. Then you say required to be
17 functional. What do you mean by required to be
18 functional? Coming up saying that I don't function?
19 Is that functional?

20 MR. PALYA: Well, I mean it has to be
21 either an alarm sounds or it operates. I mean if
22 you turn it on and, you know, I mean we're looking
23 at things that maybe the battery housing will crack

1 or --

2 MR. BERNDTSSON: If you have a function
3 built into your respirator and self-test it and it
4 comes out and it says oh, doesn't work any longer,
5 is that a powerful failure?

6 MR. PALYA: I'm sorry?

7 MR. BERNDTSSON: If you have function
8 built into the respirator that it self-tests and the
9 answer that they come up and say sorry, I'm not
10 functioning, I don't have enough power or whatever
11 it is, that is a power --

12 MR. SZALAJDA: That's correct, because
13 the, really the intent is because of where and how
14 the PAPR will be used, that the user will be able to
15 make a conscious decision if he wants to put the
16 system on or not.

17 And part of the rationale here is that
18 you're testing the functionality, that if the answer
19 is the system's not ready, that's okay. But the
20 purpose, the point is to get some sort of answer.

21 MR. BERNDTSSON: The other thing that's
22 going to be a challenge of course is that if you're
23 taking it out of this cold environment and expect

1 batteries to work straightaway, that is -- I didn't
2 really understand if that was the purpose with some
3 of the cold testing. Are you going to be -- is it
4 required to be working straight after coming out of
5 minus 21 degrees Celsius or?

6 MR. PALYA: No, no, that's after all the
7 durability testing.

8 MR. BERNDTSSON: That's all the
9 durability.

10 MR. PALYA: Right. Then after all the
11 vibration, after the vibration.

12 MR. BERNDTSSON: You also said something
13 about that each user was going to have to look after
14 the batteries. Was that something? I mean for
15 example, if you have a large fire brigade using
16 PAPRs, they might need to have some battery
17 maintenance function (inaudible), otherwise it won't
18 work when they are needing it. So that is -- is
19 that going to be part of the approval system in that
20 case if you have --

21 MR. PALYA: Well, what we were intending
22 was that the PAPR, what the user would have after it
23 was issued to him would be whole. It would be the

1 complete package. So it would be ready to use. Not
2 so much going off to some sort of a supply room or
3 something and getting it. Because again, what we're
4 trying to do is we're trying to cover a whole broad
5 range of operational users.

6 MR. BERNDTSSON: Some of this, I mean,
7 personally, I think it's unlikely that you put a
8 high performance PAPR in a car in the back of the
9 trunk sitting there for 12,000 miles and expecting
10 it to work. You might do that for a face mask. But
11 a PAPR, it is -- you need to make sure that the
12 batteries are conditioned all the time.

13 And if it will sit eight days in a boot,
14 you're going to -- it maybe lost 50 percent the
15 capacity already there. So it can't really be done
16 realistic scenarios I think when it comes to high
17 performance PAPRs.

18 MR. SZALAJDA: I appreciate your comment
19 on that. I think just some of the things, the input
20 that we've gotten back from the users, the user
21 community on that that we've heard in a major
22 metropolitan area was buying PAPRs and planning on
23 putting them in their police cruisers because they

1 didn't want to deal with other aspects of using gas
2 masks.

3 So I appreciate your point on the
4 issue. But I guess the part of our, our concern is
5 in looking at setting up minimum requirements is
6 that we need to make sure that the PAPR, regardless
7 of its design, meets certain minimum criteria. And
8 that's what we're working through with this set of
9 requirements.

10 MR. BERNDTSSON: Some of that could be
11 dealt with in the marketing, the marketing of the
12 product. I mean, for example, if you can't have it
13 functional after sitting in the back of the car, the
14 battery's a problem for everyone. It doesn't really
15 matter which manufacturer it is. If it's going to
16 be sitting in the back of a car, it's not going to
17 work when you come straight out after a few days.
18 And that I think is a marketing issue.

19 MR. SZALAJDA: That's a good point.

20 Paul?

21 MR. DUNCAN: I agree. As a follow-up to
22 that, I mean maybe we should be considering PAPRs
23 more like SCBAs and less like gas masks. I mean an

1 SCBA has to go -- undergo a functional check.

2 You know, if it's sitting in the back of
3 a jump seat, it typically undergoes every 24 hours a
4 check to make sure your air pressure is there. If
5 it's a wallhanger, it's certainly checked less
6 frequently, but it's nonetheless checked.

7 And to sort of expect these to go
8 through this and be functional after some period of
9 time is I think a little unrealistic.

10 The other comment, I didn't see this
11 really addressed in the previous slide, previous
12 presentation, was also, as part of the fogging test
13 in the way the requirement is worked, these
14 batteries will be cold-soaked to minus 21 degrees
15 for four hours, then expected to be fully functional
16 for a fogging test.

17 Is there any thought given to that? I
18 mean is there -- you know, do these things just have
19 to pass the fogging test after four hours at minus
20 21 or is it --

21 MR. PALYA: Correct, yeah. The fogging
22 test is not going to be that long of a test. I mean
23 with the fogging test we're testing the respirator

1 for resistance to fog or clear the respirator.

2 MR. DUNCAN: Okay. We're still
3 expecting the batteries to deliver some level of
4 airflow after cold-soaking it at minus 21 C for four
5 hours?

6 MR. PALYA: Correct.

7 MR. DUNCAN: That's a little rough.

8 MR. PALYA: Well, again, we were looking
9 at some of the research and we found that some of
10 the batteries would operate in that functional
11 range.

12 MR. DUNCAN: Have you actually tested
13 that as like an over -- is that just like a generic
14 study on battery technology or has that actually
15 been bench-tested against PAPRs cold-soaked at minus
16 21 for four hours?

17 MR. PALYA: No, that was just some of
18 the batteries, the battery technology.

19 MR. DUNCAN: Okay.

20 MR. PALYA: But again, you know, that's
21 why we're going to do a lot of this benchmark
22 testing, so.

23 MR. DUNCAN: All right. Thank you.

1 MR. SZALAJDA: Okay. Thank you.

2 MR. LINKO: Just a quick comment. Bill
3 Linko from Micronel.

4 In the event the unit has negative
5 pressure inside, may I suggest you check for
6 leakage? Could be micro-cracks in the material. Or
7 if it's under pressure, leak down again. I spent
8 hours talking with GE about various polymers and
9 their cracking, particularly if subjected to let's
10 say to polycarbonate oils, they cause cracking. And
11 while the leaks may be small, still in some cases, 1
12 part per million is important.

13 MR. PALYA: Yes, sir. That's why we're
14 doing this test. A lot of those will be picked up
15 and the permeation penetration testing will undergo
16 the GD and HD.

17 So again, I mean we're testing the
18 integrity of the design of the PAPR, the materials.
19 And without this testing, a lot of those problems
20 may crop up without us knowing it. So that's why
21 it's very important for us to have this testing.

22 (Mr. Linko spoke from his seat.)

23 MR. SZALAJDA: Thank you.

1 Next Mike Bergman is going to discuss
2 some of the special tests that will be done under
3 CBRN.

4 MR. BERGMAN: The presentation I'm going
5 to give is on the chemical warfare agent testing
6 that we do. This is done at RDECOM down in
7 Edgewood, Maryland. So I would just like to thank
8 and acknowledge their help in this project.

9 The two agents we test are sarin and
10 sulfur mustard. The challenge vapor concentrations
11 are equivalent to the CBRN APR standard. They use
12 the Smart Man upper torso mannequin which is
13 connected to a breathing machine.

14 The current concept has the battery
15 installed for testing. The test itself is an
16 eight-hour test in the live agent chamber. But
17 there are also additional time for leak testing in a
18 cold system as well as quantification of the chamber
19 itself.

20 So the concept is here to have an
21 alternate power supply which would either be a
22 longer-life battery or an electrical plug-in in
23 addition to the battery installed.

1 Again, the sarin concentration is the
2 same as for the APR. Those concentrations are
3 indicated there as well as the breakthrough times.
4 And the total test time in the hot system is eight
5 hours, with the (inaudible) of vapor being generated
6 for 30 minutes.

7 Mustard HD, again, the vapor
8 concentrations are the same as the APR standard.
9 Vapor is generated for 30 minutes and they apply the
10 liquid in the last two hours of testing, with the
11 total test time of eight hours.

12 So as a summary here, we would like to
13 accommodate the use of an alternate power supply,
14 either a longer-life battery with a ten-hour life as
15 a minimum or the regular battery installed also
16 having an electrical plug-in system to plug into the
17 laboratory power supply.

18 For the HD liquid, there will be a
19 standard number of drops on the facepiece. And then
20 we'll have to determine the placement and the number
21 of drops for the base assembly and accessories.

22 Summary time line, we'll be working on
23 standard test procedures with RDECOM May and June.

1 And we hope to perform verification testing late
2 this summer, August and September.

3 Any questions?

4 (Inaudible.)

5 MR. BERGMAN: Right. Again to
6 acknowledge RDECOM for their assistance in
7 performing the test there at their facilities as
8 well as in having input into the concept and the
9 standard test procedures.

10 The LRPL is a fit factor corn oil test.
11 And the purpose is to establish a benchmark level of
12 protection under laboratory conditions. It's not
13 intended as an indication of protection in an actual
14 response or CBRN scenario.

15 Here are some of the criteria for the
16 test: Concentration of aerosol and the particulate
17 size. The pass-fail level is greater than/equal to
18 10,000 for at least 95 percent of the test trials.
19 It's evaluated over 11 exercises and it's the
20 harmonic mean of the values from those 11
21 exercises. The concept is to test the PAPR in its
22 operational condition; that is with the PAPR blower
23 operating.

1 Here we have the 11 exercises. Eight
2 are from U.S. Department of Labor OSHA standard
3 exercises for quantitative fit testing. And then
4 I've indicated there the three that are emergency
5 response exercises that were developed over the
6 course of CBRN standards development.

7 Looking at the human subject
8 anthropometric parameters, these are the same
9 parameters that we've considered for the CBRN escape
10 respirator. Those are the neck circumference and
11 head circumference, face length and face width,
12 because these PAPRs as they are designed can have
13 the sealing surfaces that would be effective for
14 these parameters.

15 The subject panel is the same panel from
16 the CBRN escape hood or escape respirator standard.
17 The ranges were established through review of
18 population data of head, neck, face length and width
19 sizes. For the face length and width ranges of the
20 panel, we are using the ranges from the Los Alamos
21 panel report of 1974. That is the LANL panel.

22 And for the head circumference and neck
23 circumference ranges we are looking at the latest

1 research by Dr. Zhuang of NIOSH NPPTL. And his
2 survey is conducted for establishing new panels for
3 NIOSH respirator certification and international
4 standards. The subjects for his study were
5 recruited from industries nationwide, manufacturing,
6 construction, health care, law enforcement and
7 firefighting.

8 There were approximately 4,000 subjects
9 in the study. Over 2,000 of them had complete
10 measurements for face length and width and head
11 circumference and neck circumference. And in
12 looking at the panel we've constructed, you'll see
13 the face length and width row, that's the top row,
14 that comes from the LANL panel. And then the head
15 circumference and the neck circumference rows are
16 from Dr. Zhuang's study.

17 In the case of a three-size model, we
18 would look to use the individual size for each
19 model, so. That is, for the small size we would
20 look for the, for fulfilling the column of the small
21 column, and so on for the medium and large sizes.
22 For one-size-fits-all PAPR we look to fill the
23 criteria from the whole panel.

1 This slide just shows how we extended
2 the neck size ranges for the small and the large
3 neck circumference up to 50th percentile of the
4 population. 378 is the 50th percentile. And in
5 doing that, what it does, it allows for a single
6 subject to meet multiple criteria for that size
7 range.

8 For example, if the subject had a small
9 neck circumference, it would just allow that subject
10 more of a statistical chance that he or she would
11 have the small face circumference criteria.

12 Going back to the panel here for a
13 second, what that means is you can use subjects
14 that, if it were a small-size respirator, if they
15 met all the criteria of the small column, that is
16 they have a small face length, width, head
17 circumference, neck circumference, you can fulfill
18 all that criteria with the same subject.

19 If the subject had say for instance only
20 a small face size and not a small neck size, you can
21 use that subject only to fill the criteria of the
22 small face size.

23 This is a new concept for the April 1st

1 concept paper is the idea of practical performance.
2 And it's in the spirit of the CBRN escape hood --
3 or, I'm sorry, CBRN escape respirator requirement of
4 the practical performance. That is, that as the
5 subject is performing the LRPL, we want to make sure
6 that that subject is able to wear the PAPR as it is
7 indicated to be worn.

8 That is, when they're performing the
9 LRPL, we want to make sure that they don't
10 accidentally switch the PAPR off or that the hoses
11 and electrical wires don't entangle and cause the
12 facepiece or hood to move off of the head or move to
13 a position where it's not indicated it will be in
14 that position.

15 We are aware of the possibility that
16 lubricants from the PAPR blower may be coming up
17 into the facepiece, causing LRPL results that could
18 fail the unit or just have lower results. So this
19 is a consideration we're going have to think about
20 in the development of the standard test procedure if
21 we're going to try to eliminate this phenomenon or
22 just consider that unacceptable.

23 Again, for the time line here, May and

1 June will be at SBCCOM -- or at RDECOM, working on
2 standard test procedures and performing verification
3 testing in August and September.

4 Okay. Any questions or comments?

5 MR. DUNCAN: Paul Duncan, Scott Health &
6 Safety.

7 I'm a little clear (sic) what you mean
8 by the aerosol coming off the motor bearings, you
9 know, basically showing up as a photometer reading
10 as being unacceptable.

11 Are you basically saying that you're
12 actually considering failing units if motor
13 lubricants actually give a false reading, what's
14 actually known to be a false reading on the
15 photometers?

16 MR. BERGMAN: I think what we're going
17 to try to do is consider working that into the
18 standard test procedure, where, if we can eliminate
19 that from happening, that would be the best thing.
20 And if we know it's happening and it's failing the
21 unit, well, I'm not sure how to deal with that yet.

22 MR. DUNCAN: There are certainly ways
23 you can eliminate it. I'm not sure if it's really

1 of a benefit to the end user to basically like say
2 you're adding a feature, possibly like a filter or
3 something, to actually purposely just pass a test,
4 where we know that the aerosol readings really
5 aren't any indication of poor fit.

6 I'd rather see some investigative work
7 be done in maybe establishing baselines for the
8 aerosols coming off the motor and then adjusting
9 test procedures to factor out those baselines. I
10 think that would probably be more -- I request that
11 would be a more appropriate solution to the problem.

12 MR. BERGMAN: Thank you. That's a good
13 idea.

14 MR. HEINS: Bodo Heins from the Draeger
15 Safety. Could you explain please how you came to
16 the fit factor numbers? When I remember the SCBAs
17 have a fit factor from 500, the APR 2,000, and now
18 the PAPR of 10,000. I would have expected the other
19 way around.

20 Because in my opinion, the first
21 responder will start with an SCBA. And then he will
22 be followed by colleagues with an APR. And the
23 colleagues outside at nearly clean air will wear an

1 APR and PAPR. So I have no idea how it has to be
2 10,000.

3 MR. SZALAJDA: Yeah, that's a good
4 comment, Bodo, and something that we've thought
5 before for a long time with this type of system.

6 I think when we look back and we look at
7 history with the SCBA, in coming up with the 500
8 value we were looking at establishing a basis for
9 the fitting, identifying good fitting
10 characteristics of respirator, knowing that you were
11 working in a supplied air mode.

12 You know, with the 2,000, when we go
13 back to the APR and look at the requirement of
14 2,000, we selected a value that technology could
15 accomplish, you know, through benchmark testing and
16 evaluation of data, generated an SBC column.

17 The 2,000 number was something that
18 technologically can be achieved today, you know,
19 providing a good degree of fit for the individual
20 that's wearing that respirator.

21 In looking at the PAPR, the
22 consideration was we know that the PAPRs can be
23 10,000. And we've seen that with testing done at

1 the Edgewood facility. And I think the criteria
2 here where we'd appreciate getting feedback from the
3 community is whether or not that not so much if the,
4 that value is appropriate, but maybe we need
5 additional criteria. Maybe we need an unblown
6 method for achieving fit.

7 And looking at establishing the 10,000
8 number, we're looking at that number across a whole
9 variety of technologies, you know, from loose-
10 fitting all the way to tight-fitting facepieces, and
11 realizing the fact that systems, those types of
12 systems can generate airflows to meet that
13 requirement.

14 I guess the question that raises to me
15 is that enough? Do we need to do something in a
16 negative or an unblown mode to assure the degree of
17 fit of the respirator. So any comments that the
18 stakeholder community has on that would be welcome.

19 MR. HEINS: Obviously 500 hundred is
20 enough. So why you need 10,000?

21 (?) MR. NAYLOR: What you said concerns
22 me greatly, to be frank. It seems like the
23 different standards are for different procedures for

1 setting the laboratory protection factor. That
2 concerns me because of again how we have to present
3 that to the user. That's the first comment.

4 The second comment is that we've been
5 talking about positive pressure powered respirator
6 systems. I think if we're going to have these
7 systems, we'd like to be able to demonstrate their
8 protection equivalence to SCBA in some way.

9 My third comment is that with PAPRs, you
10 are looking at a wide range of different
11 technologies and quite a number of different
12 applications. And it's by no means obvious to me
13 why they should all meet the same protection
14 requirements.

15 And an obvious conclusion I would draw
16 is that we ought to be offering more than one level
17 of protection and that the distinction, the
18 fundamental distinction between the positive
19 pressure system and the other systems ought to be
20 the protection level that they meet.

21 The 10,000 you've said is achievable by
22 devices. And I'm wondering whether that is all
23 devices that might be offered to the first responder

1 community or whether that's just certain full
2 facemask devices for example. My experience would
3 be that that number is potentially challenging.
4 It's not always possible to include the -- increase
5 the protection factor by a factor of five just by
6 putting 115 liters a minute into the facepiece, for
7 example.

8 So I think my basic point is we really
9 should be looking at more than one class. And I
10 think that's potentially what the user would
11 expect.

12 MR. SZALAJDA: I guess, let me make just
13 one comment then with regard to your comments.

14 I think the one thing that I don't want
15 to mislead anybody when we talked about the
16 generation of laboratory protection factors. And by
17 no means are we circumventing established OSHA
18 guidance for selection and use of respirators where
19 respirators should be used.

20 When we get into the actual application
21 of using a gas mask or using a PAPR, you know, the
22 OSHA rules of the day for assigned protection
23 factors are what would be used in the selection of

1 the respirators.

2 And in terms of setting a laboratory
3 evaluation, setting a laboratory test, you know, I
4 think a lot of the basis going into looking at the
5 values that we were evaluating or looking at in the
6 laboratory are based, you know, on what technology
7 can provide.

8 But by no means do I want to give
9 anybody the impression that, you know, we're
10 circumventing the procedure, the already established
11 procedures for selection and use for respirators.

12 MR. NAYLOR: If I may just quickly come
13 back on that, some of the devices we're talking
14 about today are novel. And I think they will need
15 new selection procedures. And we know realistically
16 that one of the things that people look at is that
17 performance can't rely on existing OSHA rules to
18 inform the selection of these device. Some of them
19 will be quite new technologies.

20 MR. SZALAJDA: Thank you.

21 MR. BERNDTSSON: Goran Berndtsson from
22 The SEA Group.

23 When it comes to protection levels, I

1 assume that the first responders, they all need to
2 be protected from whatever. I mean theoretically
3 you should have the same level of protection if
4 they're going to be used for chemical or biological
5 warfare.

6 However, what's different is the work
7 rate they're going to be used in. So in other
8 words, I think it's a little bit misleading when we
9 are, if we are talking about different level of
10 protection, different level of -- different level of
11 protection based on different work.

12 So for example, the difference between
13 the 115 (inaudible) low or medium work rate and high
14 work rate here is really what kind of work the
15 person is going to do (inaudible). But the level of
16 protection has to be equal, whichever piece of
17 equipment he is using. But he can't work too hard.

18 Does that make sense?

19 (Chorus of "No" responses.)

20 MR. BERNDTSSON: But that is what is
21 going to make the difference. I mean if you're
22 working harder, you're going to require more air.
23 (Inaudible.)

1 MR. SZALAJDA: Yeah, I think a couple
2 things to keep in mind with this test, there's one
3 that's solely a laboratory test I think as Mike had
4 stated early on, that I forget how you had it
5 phrased on the chart, but it's not necessarily
6 indicative of what somebody may actually see in
7 doing actual work.

8 I think when you look at the, how OSHA
9 assigns protection factor values, you know, you have
10 certain values for the gas mask and now we're going
11 to have new requirements for the PAPR based on
12 technology evolutions over the past several years.

13 So I'm not sure if that's really
14 answering your question, but I think part of this is
15 when we talk about the LRPL, I think we have to look
16 at it in context that doing -- you're doing a
17 laboratory test in very controlled conditions. And
18 in selecting exercises, you know, we're evaluating
19 the criteria, coming up with a baseline criteria for
20 which all the respirators are going to be evaluated
21 against.

22 MR. BERNDTSSON: I don't think I'm
23 making a question. I'm making more a statement.

1 In the end of the day, if you're a first
2 responder, it doesn't matter if you have a breathing
3 apparatus or full facemask or a PAPR, you want to be
4 fully protected. That is the bottom line.

5 You don't want different level of
6 protection. But you can use a different type of
7 equipment, a different work rate, because it is
8 going to maintain that protection at different work
9 rate. You still want to be fully protected.

10 MR. SZALAJDA: Right. Well, we -- I
11 think we agree with you that we definitely want to
12 protect the responders. And I think part of all
13 this gets into as well the proper selection of the
14 respirator appropriate for the task at hand, whether
15 it's an SCBA or a gas mask or a PAPR.

16 MR. BERNDTSSON: And appropriate
17 information about the limitations of the different
18 type of equipment is.

19 However, the reason I came up here was
20 this background noise (inaudible), material coming
21 off motors, electric motors or bearings in the
22 PAPRs. It's fairly simple to establish that by
23 running a dry test with no contaminants and

1 measuring what's happening. And then you get a
2 baseline.

3 And then you do the same measuring with
4 contaminants. And then you have one against the
5 others and you get a totally (inaudible.)

6 And I really hope that you would
7 implement something like that because if you're
8 going to get good performance, you need to have
9 bearings (inaudible).

10 MR. SZALAJDA: Right. And that's one
11 thing I think the benchmark testing will show as we
12 move along.

13 I guess part of the concern is just
14 making sure that, you know, any byproducts of the
15 system, you know, that if, you know, for example, if
16 you use something in the manufacturing process with
17 powders or whatever to preserve the components of
18 the respirator, how those will be addressed and
19 whether or not that's something that the user would
20 be concerned about that prior to wearing it that
21 they should run the system for so long to blow out
22 those types of particles.

23 MR. PARKER: Jay Parker with the Bullard

1 Company. I'd like to go back to the live agent
2 testing presentation for a sec.

3 You mentioned that you wanted to use a
4 power supply to replace the battery because of the
5 length of the test. How will the voltage be
6 determined for that power supply and also how will
7 NIOSH address the fact that once you do that, you
8 might be eliminating components that could be
9 affected during the testing such as battery cables,
10 battery mounting systems that could be attached to
11 the blower and could affect the blower and things
12 like that?

13 MR. SZALAJDA: That's a good question,
14 Jay. I think, you know, part of that's going to
15 come to light as we do some additional benchmark
16 testing.

17 Our original concept with doing this is
18 that when you look at how we do the SCBA, that, you
19 know, we ask the manufacturer of the component to
20 provide the interface between the supplied air
21 system that's available in the laboratory to allow
22 the respirator to be run for the six-hour period for
23 that test.

1 And in concept we're looking at, you
2 know, the similar type of approach to allow some
3 sort of adapter potentially to be added and provided
4 by the manufacturer to allow the system to be run
5 for that long. But that's something we'll consider
6 during the benchmarking.

7 This is the guy who has all the answers
8 to the questions about the LRPL testing.

9 MR. SIPE: This is Adam Sipe (phonetic)
10 from ECBC.

11 Going back to the LRPL values on the
12 SCBA, when that's tested, that's tested with just a
13 P-100. It's not tested with the complete system.
14 Whereas when we test the PAPR, that will have the
15 complete system. That's why the LRPL pass-fail
16 values are lower for that.

17 And the, with the PAPR is higher for the
18 10,000 because that's the complete system providing
19 all the protection. Whereas, again, with the SCBA
20 it's just the facepiece with just a filter in line.
21 So essentially an APR for our LRPL test.

22 MR. SZALAJDA: All right. Thank you.

23 I don't know how everybody feels at this

1 point. After this presentation we were supposed to
2 move to a break. Unless there's any objections, I'd
3 like to just go ahead and press on and cover the
4 last two presentations that we have and then the one
5 from Janice Bradley and then open it up, have our
6 open comment period.

7 There are some refreshments in the back
8 of the room if you're so inclined. So unless
9 there's any objections, I'd like to just continue to
10 move forward.

11 (No response.)

12 MR. SZALAJDA: Okay. Thank you.

13 I'll tell you what, we'll let just
14 everybody take five to go get something and come
15 back. Don't go wandering off into the lobby or
16 anything. We'll start in a couple minutes.

17 (Recess taken.)

18 MR. SZALAJDA: Before Frank Palya gives
19 his presentation on the chemical warfare simulant
20 project, one thing I did want to mention, that the
21 list of attendees for the meeting will be available
22 on the back table where the handouts are so when the
23 meeting's over you'll be able to get a copy of the

1 list of attendees.

2 I also wanted to let you know too that
3 our intent, like with the other public meetings, is
4 to put the presentations up on the website. And I'm
5 hopeful to have that up sometime early next week.

6 So with that, Frank Palya's going to
7 discuss the current status of the chemical warfare
8 agent simulant project.

9 MR. PALYA: I just want to give an
10 update what's the status here on the chemical
11 warfare agent simulant project. I want to go ahead
12 there and mention our partners that are very
13 instrumental in coming up with this. It's RDECOM,
14 formerly SBCCOM, NIST, and they've been very
15 instrumental in helping us get this project going.

16 How we came about on this project was
17 back when NIOSH announced that they were going to
18 use chemical warfare agent simulants -- I'm sorry,
19 when they were going to announce that they were
20 going to use chemical warfare agents GB and HD to
21 perform certification tests, some manufacturers had
22 some concerns that they asked NIOSH to identify
23 simulants that they could test in-house.

1 So what we did was we decided to come up
2 with chemical compounds that would simulate the
3 permeation effects of GB and HD when tested on
4 different barrier materials.

5 The project goals were to identify
6 chemical compounds that simulate the permeation
7 effects of GB and HD through barrier materials. And
8 the barrier material is a base material that is used
9 in the construction of a personal protective
10 equipment.

11 We developed a laboratory procedure that
12 can be used by stakeholders for estimating
13 permeation breakthrough times using GB and HD
14 simulants. I don't know if you got a chance or an
15 opportunity to go ahead and view the chart back
16 there, but I put on display the actual permeation
17 cell that was developed and some of the procedures
18 that we were using, some of the test equipment.

19 This method would provide stakeholders
20 with a low cost rapid screening method for
21 evaluating materials using available low toxic
22 simulants. If you noticed on my slide here I have
23 Phase 1. We went to a Phase 2 because the results

1 of Phase 1 were favorable, and so we decided to
2 expand our research. I'll elaborate more on the
3 details of Phase 2 later on in the presentation.

4 Some of the accomplishments of Phase 1
5 is that we have identified four simulants that can
6 be used to simulate the permeation effects of GB and
7 HD. As you can see, you have DCH and CEPS for HD
8 simulants and DEMP and DIMP for GB.

9 Next, after identifying the simulants,
10 we developed a test procedure for using the
11 simulants. Basically this test procedure could be
12 used for TICs as well, toxic industrial chemicals,
13 as long as they are liquid. And you would go ahead
14 there and test the permeation resistance of
15 materials with TICs using this particular method.

16 Uses of new cell design that is
17 developed and it could be used to test hard
18 materials and soft materials up to 1 centimeters
19 thick. The technique is called the flooded cell
20 technique. And what happens is you go ahead and you
21 put the challenging chemical, you flood the entire
22 surface of the specimen inside the permeation cell.

23 Next what we did is we developed a

1 written test method which describes the procedures,
2 the test equipment, data analysis techniques. Also
3 included in this is mechanical drawings of the
4 permeation cells. So when it becomes available,
5 stakeholders can go ahead and manufacture the
6 permeation cell and perform testing in-house.

7 Eventually we, our goal is to have it
8 published as an official NIOSH-numbered document.
9 Right now, we have it, it's in the peer-review
10 process that's been initiated. And also what we're
11 going to do is perform some verification testing to
12 follow the test method and perform some verification
13 test method.

14 If these turn out favorable, we're going
15 to go ahead there and before it's published as an
16 official NIOSH document, we plan to have it put up
17 on the NIOSH websites, provided that the tests are
18 favorable and we don't see any major problems with
19 this test method. We figured we'd maybe have an
20 interim draft somewhere around July of this year.

21 The project goals for Phase 2 was that
22 we want to improve estimation and reliability of the
23 flooded cell technique by testing additional

1 simulants with other barrier materials and determine
2 the quantitative relationship between the flooded
3 cell technique and the conventional loading.

4 The conventional loading was that 10
5 grams per meter squared where they just put droplets
6 on the test specimens.

7 Also the project goal was to determine
8 chemical warfare agent simulant
9 adsorption/desorption of representative barrier
10 materials. This would be beneficial in the area of
11 decontamination. We could use a lot of this data
12 for that.

13 Other project goals is to identify
14 critical properties of permeants and barrier
15 materials that control permeation. We're looking at
16 things like density, cross-sectional areas of the
17 chemical and the polymer material.

18 What we're going to kind of try to do is
19 look at these certain key characteristic features of
20 these materials and of the permeant or the
21 challenging chemical, and from the material find out
22 what features are desirable in the materials where
23 you could just go ahead there and try to select off

1 of, you know, these physical characteristics and
2 chemical characteristics of the material, and, just
3 by doing some literature search.

4 And that would eliminate a lot of trial
5 and error at first. And then you could go ahead and
6 perform this, the testing using the simulants. And
7 then ultimately you could probably test the material
8 against live agent. But again, this method is great
9 for doing a screening evaluation.

10 The project status, Phase 1, we
11 completed all those, we had the written test method,
12 so all that's been accomplished. And now we're
13 going through the peer review.

14 Now we're on Phase 2 and the project
15 status of Phase 2 is that we selected some more
16 materials. As you can see, these are the materials
17 that we were going to go ahead there and look at.

18 And also there were some preliminary
19 comparison testing done with the flooded cell versus
20 conventional loading with DIMP and DCH on butyl.
21 And what we found was that the breakthrough times
22 were essentially equal. Now, that's initial break.
23 That is not full-state permeation.

1 In summary and conclusion, shown are the
2 major accomplishments of the chemical warfare agent
3 simulant project. Just to review them again, we
4 developed a rapid, low-cost laboratory procedure
5 that can be used to estimate chemical warfare agent
6 permeations through barrier materials.

7 We identified four chemical warfare
8 agent simulants for permeation tests so we can use
9 them as testing. Developed the written test method
10 that describes equipment, test procedures and data
11 analysis techniques. And again, this is under the
12 peer-review process that's been initiated.

13 Also we initiated Phase 2 of the
14 chemical warfare agent simulant process.

15 At this time, I just to emphasize that
16 NIOSH nor RDECOM does not guarantee that simulants
17 identified will be suitable for all materials, nor
18 does passage of the manufacturer's pretest with
19 simulants guarantee passage of the official NIOSH
20 certification testing.

21 So again, this is the tool to help the
22 manufacturers to go ahead there and do a lot of
23 prescreening and test the barrier materials that

1 they're going to be using in their personal
2 protective equipment to see how it would resist
3 agent permeation.

4 So at this time I'll address any of your
5 questions or concerns.

6 MR. SAWICKI: Jack Sawicki of
7 GlobalSecure.

8 I urge you to use some more polymers
9 beyond the ones you're doing because the
10 multi-laminate film protective materials, if you get
11 polyester nylon, there's a whole long list of films
12 that may react differently to the different
13 simulants than they do with the agent, and you
14 should try to correlate that data with each of those
15 individually.

16 MR. PALYA: Do you have any in mind or
17 particular that --

18 MR. SAWICKI: Polyethylene, nylon,
19 polyester.

20 MR. PALYA: Right.

21 MR. SAWICKI: And you can go a whole
22 long list. If you look at some of the patents for
23 mulit-laminate films that are out there, do some

1 analysis of the suits that are in the marketplace,
2 Saran, there's a long list of them, but --

3 MR. PALYA: Right. I think in NADIC
4 (phonetic) at this time they're doing -- there's a
5 parallel study going on up there also looking at the
6 suit materials.

7 But we're trying to get a range of
8 materials, so I hopefully it will cover. I mean
9 it's going to be tough because there's a lot of
10 materials out there. But even if we could start
11 blocking certain materials off of certain simulants
12 and learning each step of the way, it will be
13 beneficial to all.

14 Jay?

15 MR. PARKER: Jay Parker with Bullard.

16 You showed two simulants each for each
17 one of the test agents.

18 MR. PALYA: Yes.

19 MR. PARKER: Is there a benefit to using
20 both or all four simulants or just two, you know
21 what I mean?

22 MR. PALYA: Yes. What we found out is
23 that with the nominal, on sometimes that, as you see

1 here GB, the DEMP, originally we thought that was
2 going to be two simulants, the DEMP and the DIMP
3 would be good for GB, to simulate GB.

4 And as you see, DCH and CEPS was for the
5 HD. But then after looking at the data, we found
6 that sometimes the DEMP may behave just like
7 mustard, okay. So what was recommended was that if
8 you go ahead there and test with those simulants,
9 that the agent would fall in somewhere in between
10 the mustard simulant and the agent simulant.

11 MR. PARKER: But you have a better
12 assurance of performance by using both simulants for
13 each one of these classes than just one.

14 MR. PALYA: Yes. Yes.

15 MR. PARKER: Thank you.

16 MR. PALYA: Okay. Thank you.

17 MR. SZALAJDA: I think to some extent
18 calling this a summary is a little bit of a misnomer
19 because there's some other topics that we wanted to
20 address as part of the meeting and I'm going to
21 cover those first.

22 Back in October and also was identified
23 in Federal Register notice that part of the

1 information that we're currently soliciting is a
2 confirmation of the schedule that we're currently
3 following for the development of the CBRN and
4 respirator standards.

5 Following the completion of the PAPRs,
6 we intend on moving through the integrated systems
7 closed-circuit supplied air. And then following
8 supplied air, if there's anything else left over,
9 then we would address that at that time.

10 Whether you'd be willing to make a
11 comment here or submit something formally to the
12 docket regarding the schedule, we would appreciate
13 your feedback.

14 The initial response from the responder
15 community in terms of identifying the SCBA and then
16 the gas masks, those have been accomplished. So at
17 this point we're moving forward. And any input that
18 you folks in the community have regarding the
19 schedule would be appreciated.

20 A second topic that's come up and we're
21 going to be addressing here in the short term is a
22 potential field retrofit for the gas mask for the
23 APRs. It's come to our attention along the same

1 lines with the, with what was done with the SCBA
2 program that the user community may desire upgrades
3 of items that, which may have been purchased over
4 the last couple years in response to the events of
5 September 11th in providing for homeland security.

6 Now that we have standards in place for
7 the gas mask to potentially look at developing a
8 program to allow those systems to be upgraded to
9 meet the CBRN requirements. Our intention is to
10 develop a concept paper for the retrofit program and
11 post it on the website by the end of May.

12 And I think for those of you that have
13 tracked the development of the retrofit program for
14 the SCBAR approach to the APR concept is consistent
15 with what we've done with the self-contained
16 apparatus.

17 I think primarily we're looking at the
18 items that have been in service for less than five
19 years, that this seems to have been a good break
20 point for both the manufacturers and the users with
21 regard to facepieces that could be readily upgraded
22 to meet the CBRN configurations.

23 Another feature that we see as part of

1 the retrofit program is to allow the retrofit to be
2 performed by a manufacturer-certified technician,
3 whether it's the manufacturer themselves or one of
4 their representatives that they've certified to do
5 the retrofit.

6 And again, in looking at the design
7 configuration at what is upgraded, the field item
8 that is upgraded would need to meet the physical
9 configuration requirements of the original CBRN
10 certification. So that, you know, your two-year old
11 mask has the same physical configuration as the item
12 that's passed the certification testing.

13 I think in summary, I hope our
14 presentations have been helpful to you, you know,
15 with regard to some of the technological issues that
16 we're trying to deal with here in terms of
17 developing the conceptual requirements for the
18 PAPR.

19 I think in summary, I think this hits on
20 some I think the unique perspectives and the unique
21 technology challenges that we're facing in terms of
22 how we're developing these requirements. I think,
23 obviously, I think from what you've heard and the

1 dialogue that you've provided today that how we
2 address the flow situation with the PAPRs is going
3 to be very critical in terms of developing our
4 testing, our certification testing capabilities,
5 whether we look at high flow testers and the
6 development of high flow test testers that can be
7 used within a community or if we use existing
8 protocols and come up with other procedures for
9 allowing us to use existing protocols.

10 I think something that is somewhat novel
11 with this system when you look at the hazard
12 protections, I think the stacking, having the
13 possibility for stacking of protections opens up a
14 lot of options for both manufacturers and users.

15 As I had mentioned earlier in my remarks
16 this morning, you know, we've seen with benchmark
17 testing and certification testing done on other
18 canisters that we may be doing a disservice to the
19 capability, the CBRN capabilities of some of the
20 canisters. And I think through the use of the
21 stacking provision that it will allow manufacturers
22 to fully be able to quantify the capabilities of
23 their items and enable the users or provide the

1 users of the equipment some capabilities of, or
2 additional knowledge of the capabilities of the
3 systems.

4 And some of the things that I'd like the
5 community to think about as well as we move along,
6 and I guess the one thing is we try to learn from
7 our lessons as we've developed all these standards,
8 but I think with the PAPR, I think at least right
9 now we can envision there's going to be some very
10 unique application content constraints that will be
11 required in terms of the packages that we receive
12 for consideration in the certification program.

13 One of these things gets into the
14 labeling requirements, you know, where we're dealing
15 with stackings, you know, we're developing an
16 alphabet soup associated with how the items are
17 labeled. And one of the things that would be of
18 benefit to us is to get the feedback from the
19 community as far as how to make that as user
20 friendly as possible.

21 Another aspect in looking into labeling
22 is the specific component labeling. We're looking
23 at the batteries and other accessories for the

1 system, how best to accomplish that as we move
2 forward.

3 I think in terms of the quality control
4 plan, it's pretty apparent to us as a result of
5 testing that we did that there's some, there's going
6 to be a need for some engineering controls over how
7 we handle uniformity, either in the canister or in
8 the manifold. And we'll be looking for your
9 feedback with regard to those characteristics.

10 But one of the things that we anticipate
11 that we'll be seeing as we move forward and the
12 types of information that we'll require in terms of
13 the quality control plans, we'll need to address
14 uniformity.

15 And where we see ourselves moving ahead,
16 we're going to continue to use the concept paper as
17 a means of sharing our ideas with you. At this
18 point, you know, given the 30-day cycle for you to
19 make comments based on the information that was
20 presented today, I'd envision that probably within
21 45 to 60 days we'll post the next generation of
22 concept paper based upon your comments with regard
23 to what we presented today, as well as ongoing

1 testing that we're currently doing either within
2 NIOSH or with our partners.

3 And again, one of the things that we
4 really want to consider is our stakeholder
5 relationships, our relationships with the
6 manufacturer, our relationships with the other
7 standards organizations as well as with the user
8 community.

9 And, you know, obviously when we've
10 talked about the formal approaches in terms of
11 docket submissions and public comment, and I also
12 wanted to assure you that if you have proprietary
13 data that you would like to share with us for
14 considerations with regard to the requirements, then
15 NIOSH will respect that proprietariness of the
16 information and not make it part of publicly
17 available material.

18 I think the long, the critical path in
19 our view with the upcoming benchmark testing is
20 going to be addressing the high-flow-type testing
21 with the availability of testers to provide high
22 flow at the proper loading characteristics versus
23 the equivalent velocity-type of approach.

1 And in looking at the time frames for
2 accomplishing the work, that's definitely on our
3 critical path with regard to moving ahead and
4 getting the standard done in a timely manner. So I
5 think this is an area where any and all expertise
6 available in the community and input with regard to
7 existing capabilities and what could be developed in
8 the short term would be definitely appreciated.

9 I think you heard, and one of the
10 approaches that we wanted to share with you today
11 was our time lines for conducting benchmark
12 evaluations and give the community a flavor for when
13 you could expect to see results of our testings from
14 doing the different evaluations and that we're going
15 to be moving forward with our benchmark evaluations
16 for the gas and vapor testing with the chemical
17 warfare and LRPL, the battery performance.

18 I think you can appreciate that probably
19 the time frames that were generated for those types
20 of applications are probably fairly realistic given
21 the state of technology and the type of testing that
22 would be required.

23 Really the key to trying to meet a

1 December release of the standard is going to be
2 completely contingent on addressing and resolving
3 the testing at high flows issue. If that can be
4 resolved within a timely manner within the next few
5 months, then December is a realistic date.

6 If the administrative procedures for
7 getting equipment and getting contracts in place
8 prove to be more difficult than we expect, then that
9 date is going to have to be flexible. But that's
10 our target.

11 And I think over the next couple of
12 months we're going to get a better realization of
13 the feasibility of actually having a standard ready
14 for release in December.

15 And again, that's something where we
16 would appreciate your inputs. If there are things
17 that you're aware of from a technology standpoint
18 that you would think help us address some of the
19 issues that we raised today, we'd appreciate hearing
20 about that because really the intent behind
21 generating the standard is getting this type of
22 protection out to the responder community as quickly
23 as possible. And to that end I think it's in our

1 best interests to provide that type of effort as we
2 move forward.

3 Again, I think we envision probably
4 having another public meeting, hopefully sharing our
5 benchmark data with you for what we've developed
6 over the summer, probably in the September time
7 frame. We'll try to be sensitive to the scheduling
8 of that meeting around any other national
9 conferences, whether they be hygiene shows or an FPA
10 or any other of those types of conferences that may
11 be going on in that time frame. But we think late
12 summer, early fall would be what we're targeting for
13 our next public meeting.

14 And again, this is information that was
15 in your packet this morning. We would appreciate
16 any and all comments, whether they be public or if
17 you prefer private, because our standard is only
18 going to be as good as the combined efforts of our
19 team, not only the government team working on the
20 development of the requirements but our stakeholders
21 and all our partners.

22 So with that, I think I'm finished.

23 I'd like to invite Janice Bradley up to

1 make her presentation. And upon the completion of
2 Janice's presentation, if anybody else would like to
3 -- has a presentation, if you can let me know,
4 otherwise we'll have our open comment period.

5 MS. BRADLEY: If you've had PowerPoint
6 overload, you can just rest and listen to my droning
7 voice put you to sleep as I proceed toward the end
8 of the day.

9 My comments are based on the April 1st
10 draft. And I've tried to edit them appropriately
11 based on the comments from the NIOSH staff and their
12 partners that were presented for us today. If I
13 didn't edit out all the issues that were answered,
14 forgive me, but, anyway, I'll proceed.

15 I am representing -- my name is Janice
16 Bradley. I'm the technical director at the
17 International Safety Equipment Association. It's
18 the leading organization representing manufacturers
19 and suppliers of personal protective equipment and
20 apparel.

21 We offer the following comments in
22 response to the NIOSH concepts for CBRN PAPRs.

23 Regarding the scope, in the April 1st

1 concept paper, in paragraph 4 of this section
2 specifically mentions only tight-fitting and
3 loose-fitting facepiece designs. We believe that
4 this proposal should include hoods and helmets,
5 which have not specifically been referenced.

6 The definitions as provided in the
7 concept paper exclude PAPRs with loose-fitting hoods
8 and helmets from CBRN applications. Loose-fitting
9 respirator inlet coverings have many benefits over a
10 tight-fitting mechanisms and should be included in
11 the standard.

12 The definition for respirator inlet
13 covering should be changed to include hoods and
14 helmets with neck dams. And NIOSH should include
15 the following definitions for these devices in
16 Section 3.1 of their concepts: Hood being a
17 respirator inlet covering that completely covers the
18 head and neck and may cover portions of the
19 shoulder.

20 A helmet is a hood that also provides
21 protection against impacts and/or penetration. And
22 loose-fitting facepiece is a respirator inlet
23 covering. It is designed to form a partial seal

1 with the face, does not cover the neck and
2 shoulders, and may or may not provide head
3 protection.

4 The statement in, which I quote,
5 "ensures that only purified air reach these areas,"
6 unquote, should be removed as this information
7 offers no discussion as to whether the PAPR is
8 turned on or not, implying that the PAPR must do
9 this even when it is turned off, thus requiring fit
10 tests by all users.

11 Regarding respirator use as currently
12 stated in item C does not require that filtering
13 elements be discarded after use. Once the
14 cartridges have reached their end of service life or
15 when used for even a very short time against
16 chemical warfare agents, they should be discarded.

17 NIOSH should define the term "use" and
18 require that a change schedule be established by the
19 user similar to what is required by the APR CBRN
20 standard.

21 The language regarding liquid chemical
22 warfare agent, which is I believe item D, should be
23 consistent with other CBRN standards, specifically

1 the following CBRN APR language should be
2 incorporated into the CBRN PAPER draft, quote: "The
3 respirator should not be used beyond eight hours
4 after initial exposure to chemical warfare agents to
5 avoid the possibility of agent permeation. If
6 liquid exposure is encountered the respirator should
7 not be used for more than two hours."

8 Regarding the section on hazards, NIOSH
9 should not imply that devices certified to the
10 standard provide protection only against the 139
11 respirator hazards identified as potential weapons
12 of mass destruction. Based on the testing against
13 cyclohexane these devices will be at least as
14 effective as -- against organic vapors with a vapor
15 pressure less than cyclohexane even if that organic
16 vapor has not been identified as a possible chemical
17 warfare agent.

18 NIOSH did not indicate the respirators
19 under this approval category are not effective
20 against them. We suggest rewording the statement
21 to, and I quote, "Testing against these 11 TRAs
22 ensures that the respirator provides protection for
23 the 139 identified potential weapons of mass

1 destruction, respirator hazards and other organic
2 vapors."

3 Regarding respirator containers, I
4 believe it's Section 511 requires that CBRN PAPRs be
5 equipped with a container bearing markings which
6 show the applicant's name and the type and
7 commercial designation of the CBRN PAPR on all
8 appropriate labels.

9 Manufacturers view this requirement as a
10 significant change in existing NIOSH policy and seek
11 specific rationale for this requirement if it is
12 indeed retained in the final version of the
13 standard.

14 Regarding labels, manufacturers believe
15 that the language in Section 521 may be confusing to
16 the user and that NIOSH should provide additional
17 examples of other suitable locations for clarity's
18 sake for the user.

19 Regarding the low-flow indicator, this
20 is a function of the motor battery and particulate
21 loading, not the gas loading of the canisters. And
22 as written, this could give users a false sense of
23 security that saturated canisters are still usable

1 by simply relying on an indicator to leave the
2 area.

3 Regarding operational controls, while we
4 agree with NIOSH on the importance of readily
5 accessible, better protected switches and controls,
6 it would be difficult to evaluate this requirement
7 for product certification. What is immediately
8 accessible to one person may not to the next.

9 We suggest that NIOSH eliminate this
10 requirement because this is a feature that needs to
11 be determined by the user and ultimately becomes a
12 market-driven issue.

13 Regarding breathing performance, the
14 transducer response time is not indicated. The two
15 machines identified have two different transducers
16 specified between NFPA and NIOSH. And the NIOSH
17 version is faster than the NFPA version. These
18 details need to be addressed before a final standard
19 is published and NIOSH indicate these requirements
20 that apply to only the CBRN PAPR requirements and
21 not all PAPRs.

22 Regarding the respirator inlet covering
23 lens haze luminous transmission and abrasion

1 requirements, manufacturers note that abrasion
2 resistance was lifted out of the full facepiece
3 specification. And it should be modified to include
4 a different provision for hoods based on the
5 materials used for hoods or eliminated altogether.

6 ISEA believes that manufacturers should
7 not provide the abraded samples. And this is
8 Section 564. If it is indeed to be performed by
9 third-party testing, NIOSH or its designee should be
10 the party that is abrading the samples that are
11 supplied by the manufacturer.

12 Regarding noise levels, manufacturers
13 request that NIOSH explain the rationale used to
14 reduce the noise level from 80 dba to 75 given that
15 the noise level in 42 CFR is 80.

16 Regarding canister capacity, we
17 recommend that NIOSH delete the reference to ppm per
18 minute as this will confuse most people reading the
19 standard. It does not provide any useful
20 information to the concept paper.

21 On Table 3 of the concepts, NIOSH has
22 identified the peak flow rate for two types of CBRN
23 as the basis for determining the flow rate to be

1 used for canister capacity testing. NIOSH should
2 explain the rationale behind the choice of 87
3 percent of this value or the constant flow rate of
4 the PAPR, whichever is higher, as the test flow
5 rate.

6 Despite the absence of rationale for
7 this value, it is not clear why they are needed at
8 all. It seems more appropriate to use the constant
9 flow of the blower as the flow rate.

10 NIOSH should not have to specify the
11 minimum flow rate for the test if the flow rate of
12 the blower is sufficient to pass the NIOSH positive
13 pressure test and the LRPL test. It becomes a
14 design specification rather than a performance
15 specification which should be eliminated.

16 ISEA also questions the choice of flow
17 rates selected for the demand response of PAPR. It
18 would be more appropriate to test the unit at the
19 maximum designed flow rate. Essentially the user
20 flow rate of these devices is unknown to NIOSH. The
21 only way to ensure that the capacity is sufficient
22 is to use the maximum flow rate of the device.

23 ISEA also requests the details of the

1 test procedure based on STP 0012 as noted on page 9
2 specifically clarifying the terms stacking and
3 family capacity as they are referred to in the
4 TRAs.

5 The current text for adjusting the flow
6 rate based on the number of air-purifying elements
7 should be changed to, and I quote, "The filter
8 canister capacity airflow rate shall be divided by
9 the number of filter elements used on the PAPR."

10 Regarding particulate and aerosol
11 canisters, Section 633 should be revised to read,
12 and I quote, "When the canisters do not have
13 separate holders and gaskets, the exhalation valves
14 shall be blocked to ensure that valve leakage if
15 present is not included in the filter efficiency
16 level evaluation," unquote.

17 PAPR filters and canisters do not
18 generally have values on them. The values are
19 present on the facepiece.

20 And regarding the panic demand
21 provision, PAPRs should not be different than the
22 CBRN full facepiece APR devices. In the APR
23 statement of standard the flow rate used is 100

1 liters per minute, 50 percent relative humidity plus
2 or minus 5 percent, and 25 degrees C plus or minus 5
3 degrees, for each of the gases and vapors tested.

4 This requirement is not applicable as a
5 test flow because if the wearer does not need the
6 amount of air, all of it's not going to be drawn
7 through the cartridge. This is particularly true of
8 loose-fitting hoods and helmets.

9 Regarding communications, the proposed
10 communication test is the same as that for the CBRN
11 full facepiece APR, but does not take into account
12 that there will be four CBRN PAPRs running at the
13 same time in the test room. This additional noise
14 should be included in the steady background noise of
15 the 60 dba consisting of the broad band pink noise.

16 Chemical agent permeation and permeation
17 resistance against mustard and sarin, this section
18 should specify whether the CBRN PAPR is running
19 during the test. The PAPR is off. The proposed
20 test airflow rate is appropriate for moderate
21 breathing rate PAPR but not for high breathing rate
22 PAPR because the high flow rate could affect vapor
23 permeation. This PAPR should be tested at the

1 higher flow rate during the mustard and sarin
2 chemical gas tests.

3 Regarding the laboratory respirator
4 protection level test requirement, manufactures
5 believe that the APF of 10,000 for this test is
6 excessive. The required LRPL of 10,000 could
7 eliminate hoods without a neck dam.

8 And our market data indicates that first
9 receivers and many -- which are many of hospital
10 personnel, prefer these loose-fitting types of
11 equipment. If these devices were eliminated, the
12 vital needs of the first receiver communities will
13 not be addressed by the standard.

14 Loose-fitting hoods and helmets are most
15 likely to be provided in just one size. This
16 criteria needs to address the panel requirements
17 when the respirator is provided in only one size.

18 Durability conditioning, the final note
19 of Table 7 should more clearly state that the low
20 battery indicator must still work after
21 conditioning.

22 Practical performance requirements that
23 were added, NIOSH needs to define acceptable

1 practical performance and how they plan to measure
2 this requirement. The inability to accidentally
3 turn off the respirator is very subjective and could
4 be very dependent on the test subjects chosen.

5 The requirement for identifying the
6 inability for hoses and electrical wires to tangle
7 causing the respirator position on the wearer to
8 move to an improper position such as the respirator
9 facepiece or the hood being removed from the
10 wearer's head will be captured during the LRPL test
11 and therefore is not necessary. And we recommend
12 that NIOSH delete this language altogether.

13 Before NIOSH finalizes this concept, the
14 other factors that NIOSH plans to evaluate under
15 this practical performance heading must be
16 identified and the test procedures written and
17 reviewed by stakeholders. Many of these items of
18 practical performance are design features that the
19 purchaser evaluates when selecting a device and
20 should not be evaluated for product certification.

21 Regarding cautions and limitations, they
22 need to be established and reviewed by stakeholders
23 before the standard is published instead of being

1 finalized as NIOSH is accepting submissions.

2 And I thank NIOSH for having this
3 meeting today and giving me the opportunity to
4 provide my comments. Thank you.

5 MR. SZALAJDA: With that, at this point
6 in the program, I wanted to open up the microphone
7 in the center for any comments from the floor
8 regarding considerations that you think we should be
9 addressing in terms of the concept as well as any of
10 the information that we discussed today.

11 MR. HEINS: Bodo Heins from Draeger.

12 I learned today that you will require
13 the maximum which is available for the PAPRs. But
14 you shouldn't forget the costs. If you require too
15 much, the cost of the respirator will also be very
16 high. For example, the abrasion test, it came from
17 the APR. But if a PAPR is perhaps only a single use
18 unit, so it makes no sense to have this requirement.

19 MR. SZALAJDA: Thank you.

20 MR. DUNCAN: Paul Duncan, Scott Health &
21 Safety.

22 The comment, first I think it's a very
23 exciting, interesting time to be a manufacturer of

1 respirator equipment. A lot of these standards are
2 driving a lot of things that normally wouldn't have
3 occurred.

4 I have to raise just this general
5 comment. I would encourage us to, as we look at the
6 standard development, to think, instead of terms of
7 what is best in class, to instead think in terms of
8 what is needed by the user.

9 I think by reviewing some of the best in
10 class and picking that as a standard, we are
11 eliminating access to certain technologies that
12 quite simply do the job and do the job well and have
13 been proven to do the job well over a number of
14 years.

15 I think we need to review -- I applaud
16 NIOSH when they were developing certain elements of
17 the other standards like the fit factor requirement
18 for the escape hood by using a scientific method to
19 say, okay, based on sarin exposures, we're going to
20 determine the fit factor levels in the oral-nasal
21 region to be this and for ocular exposures to be --
22 the fit factor to be this.

23 I encourage you to continue to use that

1 kind of science, you know, to further the work that
2 Mr. Caretti's doing to determine flow rates and
3 really base the performance standards on what is
4 needed to protect the user instead of what is
5 necessarily best in class. Thank you.

6 MR. THORNTON: Thank you, Paul.

7 MR. BERNDTSSON: Goran Berndtsson, The
8 SEA Group.

9 I'm going to respond to that. And one
10 of the problems with flow rates and accelerated flow
11 rates, what David said this morning was that he
12 didn't see any significant difference in speech as
13 compared to maximum hard work rate. And that is
14 absolutely true.

15 Then you come down to very low work
16 rates. You have an enormous increase in speech. So
17 the peak flows of speech and low work rate is
18 significant. So I think that what we're doing here
19 is actually writing standard (inaudible) that's just
20 being able to communicate, doing the hard work as
21 well as doing simple, not-too-hard work and still
22 communicate and be part of a group or team who need
23 to do things out in the work rate.

1 So it is difficult to kind of looking
2 for a lower level of peak flows because you don't
3 limit it. So even these people who's the medical
4 people actually who's going to communicate with
5 potential harmed persons has to think about the
6 possibility of speaking. Even when they're not
7 working hard, they will be having some significant
8 peak flows.

9 MR. LINKO: Bill Linko again.
10 (Inaudible).

11 I'm on the staff of the Loma Linda
12 (inaudible) radiation center. And most men will
13 probably have prostate cancer in their lives. And
14 if that happens to you, we'd be more than happy to
15 answer any questions concerning their protocols.
16 And they're very effective protocols.

17 Getting off that subject, Micronel U.S.
18 is a manufacturer of fans and blowers. And in a
19 nutshell, we have capacities up to 1400 liters per
20 minute or 50 cfm at zero pressure; pressures up to
21 5,000 psa or 20 inches of water at zero flow; cfm
22 per watt up to 50.

23 We also have motor operation goes from 4

1 millimeters to 100 millimeters, both brush,
2 brushless (inaudible). So if we can be of aid to
3 you, be more than happy to do so. E-mail
4 Micronel.com.

5 Thank you.

6 MR. HASSELL: Bill Haskell from Battelle
7 Natick Operations.

8 In reading the front page of the concept
9 paper defined the cold, warm and hot zones. And one
10 thing that sort of confused me in reading it is
11 depending on the event, whether it's a warfare
12 agent, industrial chemical or biological threat, one
13 event's hot zones might be totally different than
14 another event hot zone.

15 And then it goes on to define where you
16 would wear an air-purifying respirator. And I think
17 that just sort of sets a tone that sort of confuses
18 you when the use of the respirator maybe should be
19 depending on the incident and the type of threat.
20 And you're sort of steering you away from a hot
21 zone. And in a biological incident, you know, maybe
22 you can use these types of protective equipment.

23 MR. SZALAJDA: Thank you.

1 MASTER SGT. AVERY: Master Sergeant
2 Avery from CBIRF.

3 And we of all people appreciate what
4 NIOSH is doing. However, we do wish we would
5 continue in the area of higher flow rates, somewhere
6 around 150 and above.

7 MR. DUNCAN: Paul Duncan again.

8 Something that's been touched upon a
9 couple times in this meeting is we started
10 discussing flow rates. But I don't see a move to
11 really address it. And we may not in the standard.
12 I see a gap on our thinking where we fail to address
13 what happens to the end user, what happens to
14 protection if there is no battery or the battery
15 fails or if the user is in a situation where the
16 battery runs out.

17 You know, we've done a lot to move
18 toward, distinguish between constant flow and
19 breath-responsive PAPRs. But we're leaving the
20 whole concept or the whole wide difference between
21 tight-fitting facepieces and loose-fitting
22 facepieces totally unaddressed.

23 I'm not sure if some of the test

1 standards and test procedures that come out will
2 make more distinction between the two. I hope they
3 do because I think we may be doing a disservice to
4 the end user community to not address that issue
5 specifically and maybe call these out and you call a
6 separate class or have certain tests to make sure
7 there are distinctions between how these products
8 protect the user.

9 MR. SZALAJDA: Thank you, Paul.

10 Anyone else at this time?

11 (No response.)

12 MR. SZALAJDA: Well, I think I
13 definitely agree with the one comment that I heard
14 that it is a very exciting time to be working within
15 this technology. And I encourage you to continue to
16 let us know of your concerns and things that you
17 think that we should be aware of as we move
18 forward.

19 And thank you very much for attending
20 and we'll look forward to seeing you all in the
21 fall.

22 (At 3:25 p.m., the public meeting was
23 concluded.)

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23

REPORTER'S CERTIFICATE

I, Eloise L. Hess, do hereby certify
that the foregoing 229 pages are a true and correct
transcription of my stenographic notes taken at the
above-captioned NIOSH/NPPTL Public Meeting on
Tuesday, May 4, 2004.

Eloise L. Hess
Eloise L. Hess, Reporter

- - -