

NIOSH/NPPTL Public Meeting to Discuss CBRN and Quality  
Assurance

June 25, 2003 - 9:00 a.m.-4:15 p.m.  
Hilton Garden Inn - Canonsburg, Pennsylvania

## TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

In the following transcript (sic) demotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

In the following transcript (phonetically) indicates a phonetic spelling of a word if no confirmation of the correct spelling is available.

In the following transcript "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

In the following transcript "\*" denotes a spelling based on phonetics, without reference available.

In the following transcript (inaudible) signifies speaker failure, usually failure to use a microphone.

## P R O C E E D I N G S

1  
2           **UNKNOWN SPEAKER:** If everyone . . .I guess everyone is  
3 seated so sorry for the 5-minute delay, but we had a little  
4 technical difficulties. What we'd like to do is start the,  
5 start the meeting and to kick it off Rich Metzler is going to  
6 give a few opening remarks.

7           **RICHARD METZLER:** Good morning and welcome. It's a  
8 glorious day in western Pennsylvania. For those of you who  
9 are familiar with the area know this is about the third day of  
10 sunshine we had this year. Again, welcome ladies and gentle-  
11 men and partners for improving occupational safety and health.  
12 I am very pleased to see you here today and to welcome you.

13           I'd like to point out that there is a very diverse group  
14 with us this morning: representatives from the manufacturing  
15 community, the ISEA, private laboratories who perform tests of  
16 personal protective equipment, instrument manufacturers, uni-  
17 versities, and in particular I'd like to thank the emergency  
18 responder groups, the IFF, the IFC, the NABSCA, the U.S.  
19 capitol police, HAZMAT response teams. It's important that  
20 your participation stay at a high level so that we can imple-  
21 ment the best of standards to protect emergency responders.  
22 The program that's hosting this meeting today is NIOSH's  
23 National Personal Protective Technology Lab. We had our  
24 genesis just a couple of years ago prior to 9/11 with a

25 guidance and a mandate from Congress asking that we focus our  
26 attention on state-of-the-art personal protective technologies  
27 for all workers but with a special emphasis and encouraging us  
28 to work on those special needs of emergency responder com-  
29 munities to terrorist events. The programs relating to self-  
30 contained breathing apparatus for CBRN response, full  
31 facepiece gas masks, the escape hood program are all part of  
32 our special emphasis program. Before I begin additional  
33 comments on where we had been and where we are going, I have  
34 special announcement to make today. Recently we were able to  
35 select our permanent management team and I'd like to announce  
36 that Les Boord who has almost 30 years experience in occupa-  
37 tional safety and health protective technology business and  
38 was a senior vice-president with a major manufacturer is the  
39 Deputy Director for the National Lab. Roland Berry Ann  
40 sitting in the back here; Roland you want to stand up and let  
41 everyone see you; Roland is selected as the Respirator Branch  
42 Chief. Ron Shaffer right over here in the corner joins us  
43 from Naval Research Lab and General Electric, Ron is a Ph.D.  
44 analytical chemist who brings an expertise on CBRN standards  
45 or sensor technologies. He will be leading our research  
46 program in personal protective equipment.

47 I see a lot of familiar faces and partners in the audi-  
48 ence here. Many of you have been with us since the early 1999

49 when we were trying to eek out enough budget to hold our first  
50 workshop jointly with DoD and OSHA in Morgantown. This was  
51 our first real introduction into chemical warfare agents and  
52 protection needs of emergency responders against terrorist  
53 threats. We held that meeting in March of '99. It had  
54 131 attendees and 15 inches of snow outside at that meeting.  
55 There's a book in the back of the room that described for us  
56 as a first resource, the protection needs for emergency  
57 responders. Our early efforts were aimed at identifying the  
58 protection needs for the responder community and building  
59 crucial partnerships. And our laboratory, by the way, is  
60 founded on the philosophy that quality partnerships enhance  
61 safety and health and we do these partnerships through  
62 bringing funds in from critical partners and also putting  
63 funds out to critical partners to help us do our work. And  
64 during that process, we were able to learn that the critical  
65 first needs of the emergency responder community was self-  
66 contained breathing apparatus and full facepiece gas masks.

67       Again, I remember sitting in Chicago with Chief  
68 John Ebersol and he asked me the question will self-contained  
69 breathing apparatus protect fire fighters from chemical  
70 warfare agent threats. I didn't know how to answer that  
71 question. He kind of put me on the spot. You know SCBA are  
72 not products used by the military so that they weren't

73 certified and constructed to protect against chemical warfare  
74 agent threats and NIOSH had no experience in the area. A lack  
75 of response lead John to say you should not put your head on a  
76 pillow and go to sleep at night until you have an appropriate  
77 standard to protect these emergency responders. And it seemed  
78 like it's something we might have been able to do in 3 months,  
79 but in fact it took a couple of years to develop the appro-  
80 priate standards for self-contained breathing apparatus.  
81 These standard development activities are not the only  
82 activities that we as a new lab had been participating in. We  
83 sponsored a meeting in New York City following 9/11, that was  
84 in December, where we brought in actual responders from the  
85 Pentagon, the Oklahoma City disaster, and the World Trade  
86 Center disaster and learned from the emergency responder what  
87 they're protective technologies needs were, how well did the  
88 equipment perform at that scene, and what they're shortcomings  
89 and gaps in technologies were.

90       We're also doing a study with RAN where they're inter-  
91 viewing hundreds of emergency responders in all walks of life  
92 from that community to address what they're personal pro-  
93 tective technology needs are. And that really does expand it  
94 to HAZMAT workers, emergency medical workers, fire fighters,  
95 police officers, the full gamete of emergency responders.  
96 We're also developing and will have done by the end of this

97 fiscal year critical PPE guidelines for emergency responder  
98 protective technologies during structural collapses.

99       This is not done alone and there are many partners we  
100 have to thank. We did have many official partnerships  
101 established. The National Institute for Standards Technology  
102 has worked with us all along the way and in fact they along  
103 with the Department of Justice really did provide the early  
104 funding to initiate our programs and they continued funding in  
105 part our work even through today. The SBCCOM, I'd like to  
106 think of them as blood brothers . . . we're talking about in  
107 the way of the standards for SCBA and gas masks could not have  
108 been done without SBCCOM. We are one. OSHA, it's participa-  
109 tion with us in providing us with advice and council and also  
110 assuring that the standards that we develop can in fact be  
111 implemented and enforced in the workplace. The NFPA, as a  
112 private sector group, brings its body of standards in use with  
113 our own new standards to ensure that we have a full range of  
114 adequate protection. Not listed here are all of the user  
115 groups, the fire chiefs, the fire fighters, the police, the  
116 IAB, many organizations who have participated in every meeting  
117 providing us with insights of what their needs are.

118       Today's meeting is going to discuss two important areas.  
119 The first is the CBRN standards for air-purifying and self-  
120 contained escape respirators. While the press and national

121 television media have not been putting a great deal of focus  
122 on respiratory protection of late with one exception 20/20 had  
123 a program last week in the shortfalls of gas masks protection  
124 for first responders. The heat seems to be somewhat off in  
125 this area but in fact with the introduction of these standards  
126 we anticipate there will be a greater awareness and a greater  
127 interest again in the coming weeks. There's also been a major  
128 problem associated with the fact that there are so many prod-  
129 ucts that can be purchased from a website where there has been  
130 no standards used for designing and developing equipment to  
131 provide adequate performance and many, many misrepresentations  
132 of the equipment's capabilities.

133         The second part of this afternoon will address the  
134 quality assurance module. A module in our terminology means a  
135 set of standards for improving quality assurance in this case.  
136 This is a module that we had to set aside while we responded  
137 to 9/11 and the CBRN standards, but several things have hap-  
138 pened that make the timing in introducing the concepts for  
139 these upgraded standards the right time now, that is, ISO9000  
140 recently came out with an upgrade and we see that as a very  
141 improved set of quality standards. The respirator branch is  
142 the cornerstone of a new national lab and it will and does  
143 receive adequate funding from this new laboratory. We have  
144 new experienced quality assurance staff who were trained,



145 educated, and have experience in the quality assurance  
146 business and we have experience with using qualified labs in  
147 the sense that SBCCOM labs have been qualified to our stan-  
148 dards and actually using their standards and ours together.  
149 And also we have an experience using private sector quality  
150 auditors to supplement our own staff. All these things  
151 collectively have given us a broader perspective to redefine  
152 the concepts in the new quality standard.

153         And the last you'll hear from me is just a quick summary  
154 of what we have done in the way of the CBRN standards. The  
155 SCBA standards were implemented in December 2001. There are  
156 3 manufacturers who hold approval on more than 12 models.  
157 Additional applications are in-house as we are speaking and  
158 nearing completion. The gas mask program was implemented in  
159 March of 2003. There are five applications currently in-  
160 house. Four of them passed the preliminary screening test  
161 with sarin and mustard test, the system test that's done by  
162 SBCCOM for us and we intend to finalize the escape APR stan-  
163 dards by this October. We're optimistic that we'll be able to  
164 even beat that date. And we've added to our agenda, not only  
165 the APR part of it, but the self-contained portion of the  
166 standards were integrated into the program. Next year we will  
167 introduce the standards for power to air-purifying respirators  
168 with other standards such as combination respirators, air

169 supplied, air purifying built into single products to come in  
170 later years.

171 I would encourage you to continue your high level of  
172 active participation at this meeting today, to get your  
173 comments into the docket office as it would be our intent to  
174 finalize these standards within the next 60 to 90 days. It's  
175 a target that we think we could meet and are looking forward  
176 to finalizing these standards.

177 And with that, I'd like to introduce the Deputy Director  
178 for the National Personal Protective Technology Lab,  
179 Les Boord.

180 **LES BOORD:** Thank you. Perhaps first we ought to  
181 introduce the CBRN team which I'm sure everybody's by now  
182 familiar with because they're seated up here at the table.  
183 The first is John Szalajda, Frank Palya, everybody knows Rich,  
184 Mike Monahan, and a new member of the team is Mike Bergman who  
185 joined us several months ago and has been actively engaged in  
186 the process. Throughout the audience, there are several  
187 others who contributed significantly to the effort:  
188 Eddie Sinkule, in the back and I'm sure there are others:  
189 Roland Berry Ann. So I think everybody is pretty well known.

190 On the screen we have the agenda for today. I'm not  
191 going to walk through each element of the agenda. I think  
192 everybody can pretty much do that, but as Rich mentioned, the

193 focus and the primary objective of the meeting today is to  
194 cover two major topics and two major project activities that  
195 are being conducted within the laboratory. The first one is  
196 the escape respirator standard and secondly is the QA module.  
197 Hopefully the sun's shining today for the first time or for  
198 the second time or whatever it is for this year is a good omen  
199 because we have a lot of information to cover and a lot of  
200 technical details. Then tomorrow is equally an active day for  
201 manufacturers and applicants to attend a workshop on the  
202 certification process. So we have 2 pretty active days of  
203 activities that I think will have an impact on the laboratory  
204 and particularly on the respirator branch. So the agenda is  
205 as illustrated. We are pretty intense with topics today going  
206 into the afternoon until 4 o'clock.

207       The major focus of the discussions today on the escape  
208 respirator is going to focus on really five different areas.  
209 We don't want to go back and rehash a lot of the information  
210 that we've discussed previously in the April meeting that is  
211 in the concept paper. What we'd really like to focus on is  
212 the areas of work since the last meeting and plus some ancil-  
213 lary things, but those areas really come down to the five  
214 topics. One is breathing gas control which is the CO<sub>2</sub>/O<sub>2</sub> con-  
215 centrations. The second we want to talk about the description  
216 of the categories: the general, the specific, and the high.

217 We want to spend some time on the LRPL. We've done quite a  
218 bit of work on the LRPL and that will be a topic of discussion  
219 today. Then we want to talk a little bit about the live agent  
220 testing and what has been done in the area of live agent  
221 testing since our last meeting in April. And then finally, an  
222 area of the standard development that we spent some time on in  
223 the last . . . since the last meeting is the testing sequence  
224 so I think the sequence in which all the requirements will be  
225 evaluated, the number of respirators, and so forth. So those  
226 are the areas that we really want to discuss in detail.

227       Also the surveys that you have in your information packet  
228 that you received from the meeting, one of the comments that  
229 we received from the meeting in April was that a lot of the  
230 background information that we presented is kind of redundant  
231 because we talk about it at each meeting and we do have a  
232 large percentage of the attendees to attend multiple meetings.  
233 So what we're going to do is we're going to abbreviate those  
234 discussions so we can really focus on the technical content.

235       Another area that we're going to do a little differently  
236 this afternoon in the afternoon session is that we've taken  
237 the opportunity to prepare all the comments that we've  
238 received during the course of the meetings through the dockets  
239 and sort of itemize them, tabulate them by topic area and we'd  
240 like to walk through those so you can sort of see how we man-

241 age and what we are doing to manage the comments and the  
242 information that we get from the interchange and the inter-  
243 active part of the discussions that we do have.

244         And then finally to round out the day, we have the dis-  
245 cussions on the QA module which I think is also a refreshing  
246 step in the program. I think most of the people in the  
247 audience are familiar with the previous activities on the QA  
248 module which we're all very good actions and activities.  
249 There was a lot of work done in that program and basically  
250 we're renewing that effort and would like to get everybody up  
251 to speed at where it is and where it is going.

252         Just some of the logistics before we get into the dis-  
253 cussions, I believe that everybody has used the sign-in sheets  
254 at the registration. So if you haven't though, make sure you  
255 do sign in so that we have an accurate list of the attendees.  
256 The meeting is being recorded so you should be aware of that  
257 and it is transcribed then for the docket. One of the activi-  
258 ties this afternoon when we talk about the comments that we've  
259 collected on the previous meetings, that's where some of those  
260 come from, from the previous recordings and transcription.  
261 The presentations that we do today will follow the agenda.  
262 The agenda is kind of broad without the specific technical  
263 requirements, but those discussions will follow the areas that  
264 I mentioned just a little while ago. Following each discus-

265 sion we will have a question and answer period. Okay, so that  
266 you have the opportunity to ask any questions or provide com-  
267 ment or provide input relative to the topic that's been  
268 discussed. To do that, we would like the individual person to  
269 go to the center of the room to the microphone and then  
270 announce their name, their organization, who they represent,  
271 and then to make the comment into the microphone. Then  
272 finally we have the information relative to the docket. Okay  
273 so we actually have two docket numbers illustrated there: one  
274 for the escape respirator of the CBRN escape respirator and  
275 secondly for the QA module. So I think that information is  
276 also provided and available in your packet along with the  
277 other contact information.

278         So with that, we'll move into the overview discussion for  
279 the CBRN escape respirator and while we're not going to go  
280 back and rehash a lot of the background, I think it's very  
281 important that everybody understands the goal which we're  
282 trying to achieve. So I don't think we can have a meeting  
283 without stating what the goal for the project is and that is  
284 basically to develop an escape-only respirator to be used for  
285 CBRN chemical, biological, radiological, nuclear inhalation  
286 hazards in the event or the incident of a terrorist event and  
287 it's intended for the general working population.

288           The escape respirator does represent what I consider to  
289 be a very complex problem involving hazard analysis. To  
290 really identify escape respirators and escape respiratory  
291 protection, there needs to be some forethought behind what the  
292 intentions are. Okay, what you intend to use it for, where  
293 you're escaping from what you're escaping from where. Okay,  
294 what's you're . . . perhaps what the threat level is for the  
295 area, where the respirator would be deployed, whether it's in  
296 areas where high concentrations could be considered or whether  
297 it's in a low-threat area or you may have lower concentrations  
298 to be concerned about. All these factors I think need to be  
299 part of an assessment to determine what type of an escape  
300 respirator is ideal for the situation, but then there's also a  
301 wide variation of what those hazards and threats may be. As  
302 we well know from previous efforts in our standards develop-  
303 ment and in our APR. We have, our gas mask APR, we have the  
304 hazards of chemical warfare environment. We have biological  
305 hazards. We have toxic industrial material hazards. So we  
306 have a wide variety of hazards that can be the threat and I  
307 think also that the . . . an awareness is to the hazards that  
308 are applicable to the particular area need to be a  
309 consideration.

310           In addition to all that, we have multiple escape activi-  
311 ties that can be taking place. So when we look at escape from

312 terrorism events, it is indeed a complex problem. We have a  
313 wide variety of hazards. Threat analysis can be site spe-  
314 cific. As we said before, the hazards and the threats for one  
315 metropolitan area may be significantly different then they are  
316 from another depending on the industrial activities in an area  
317 or just the general proximity to perhaps military installa-  
318 tions and so forth. So hazard/threat analysis can be site  
319 specific.

320       Escape strategies also can vary. Escape strategies are  
321 exit immediately or progress to designated areas. These  
322 factors, these threats, and the escape strategies they do have  
323 an impact on what the respirator is expected to be able to do.  
324 And as such, I think by virtue of that fact they have an  
325 impact on the standard that we ultimately develop for an  
326 escape respirator because if we have an escape scenario that  
327 has specific requirements. I think our standard that we  
328 ultimately end up with needs to be capable of being able to  
329 certify that, that respirator. For this reason, we segment  
330 the strategy for escape respirators into three categories  
331 which most of you're familiar with: the high category, the  
332 specific category, and we've renamed the bottom category  
333 there. I should have done these or mentioned these in reverse  
334 order, but the bottom category we're calling it a general  
335 category. When you look at the rough classifications of those



336 categories, we will start at the bottom here. With the gen-  
337 eral category, we're talking about multi-hazard protections  
338 with chemical warfare agent capability. We move up to the  
339 specific. We're now talking about that same general category  
340 with multi-hazard protection, CWA capability but then the  
341 ability to perhaps look at a specific threat from our list of  
342 10 test agents. So it's sort of the blanket from the general  
343 applied to the specific with the opportunity to focus or  
344 concentration on specific hazards. And then obviously the  
345 high category for oxygen-deficient environments or where you  
346 truly have a unknown situation. If you take those categories  
347 and then sort of designate them into the hazard description  
348 and respirator performance, then I think everybody's familiar  
349 with this tabulation if you've followed the concept develop-  
350 ment for the escape respirator. But basically in going from  
351 the bottom up again in the general category, we're looking at  
352 an air-purifying type of an escape respirator. The same for  
353 the specific category and the finally in that high category  
354 where we have the oxygen deficiency potential that's where we  
355 really are looking for self-contained. And that's the reason  
356 for expanding the scope of the escape respirator concept to  
357 include both the air-purifying type respirators as well as the  
358 self-contained.

359 Which gets us to the concept paper, again, most of you  
360 are familiar with the concept paper and the process that we've  
361 been using to develop the standard which basically is the  
362 concept paper. We first introduced the escape respirator  
363 concept paper last August where we identified the framework  
364 for the standard, a little bit about the categories and the  
365 general ideas of what types of requirements should be  
366 included. That has evolved through several iterations to the  
367 point where we have the June 15<sup>th</sup> edition of the concept paper  
368 which is going to form the basis for the meeting today. That  
369 concept paper is organized into two parts. The first part  
370 obviously addresses the air-purifying escape respirators and  
371 the second is the self-contained escape respirators.

372 And rather than walk through the requirements in each of  
373 those sections or each of those parts of the standard, I'll  
374 just enumerate what the sections are and as the discussions  
375 progress today, we will focus a little deeper into some of  
376 these areas. But basically for part 1, we have the statement  
377 of the goal which we reviewed today. We have the description  
378 of the hazards categories. We have or section 3 addresses the  
379 respirator use, escape only. Section 4 addresses the gas-life  
380 testing, the 10 test representative agents, how they are  
381 applied to the general and the specific categories. Section 5  
382 addresses the environmental conditioning, the environmental

383 extremes that the respirator is going to be exposed to.  
384 Section 6 identifies performance requirements and here we're  
385 looking at like field of view and fogging and general  
386 performance areas. Section 7 addresses design requirements  
387 which for the escape respirator are not that extensive.  
388 Basically, it's a hood-type escape respirator and I think  
389 that's a good sign that the design-specific requirements are  
390 not very extensive which means that the standard and the  
391 evolution of the standard is very much oriented towards a  
392 performance requirement. Finally, not finally, but section 8  
393 addresses the applicable sections of 42CFR specifies the  
394 appropriate sections there. Section 9 is service and main-  
395 tenance. Section 10 is training. These areas require, as you  
396 review the June 15<sup>th</sup> document, need to be some work done in  
397 these areas, some additional effort spent and focusing on  
398 those requirements, and finally, cautions, limitations, and  
399 quality assurance requirements. So that's the layout for  
400 part 1 - air purifying.

401       The part 2 of the concept paper addresses the self-  
402 contained escape respirator and there we have basically five  
403 sections. The first section is a general description of the  
404 standard and the description of it. Section 2 identifies the  
405 requirements and what we do here is we identify a three-tier  
406 requirement for the self-contained unit and those three tiers

407 are covered by Sections 3, 4, and 5 which basically are the  
408 first requirement is that it have a normal 42CFR approval as  
409 an escape self-contained escape respirator. The second tier  
410 of the requirement is section 4 which is what we're calling  
411 the enhanced escape respirator requirements. These are the,  
412 this is the area of the concept where we introduce the envi-  
413 ronmental conditioning requirements for fogging, for field of  
414 view, and requirements that are enhanced beyond the normal  
415 requirements of 42CFR you have very applicable to escape  
416 respirators and escape respirators for CBRN requirements. And  
417 then finally section 5 is where we identify what the specific  
418 CBRN requirements are and those really come down to two  
419 primary requirements. The first one being the laboratory  
420 respirator protection level testing which will be a focus of  
421 the discussions today and then the chemical warfare live agent  
422 testing requirements for the escape respirator are also cov-  
423 ered in section 5. And the content of each of these sections  
424 is identified in the June 15<sup>th</sup> edition of the concept paper and  
425 will be the topics of discussion today. And with that, I'll  
426 turn it over to Mr. Szalajda.

427       **JONATHAN SZALAJDA:** Good morning. As Les has mentioned,  
428 we're going to cover a couple things in little less detail and  
429 other areas, but we felt that there had been a few changes in  
430 regard to the gas-life test requirements and we wanted to make

431 sure that you were aware of those changes as well as the  
432 things that we've been consistent with the chemical warfare  
433 agent testing for the air-purifying respirators. Just a  
434 little bit of background, I think a lot of people have seen  
435 this chart in other forms before, but basically we performed a  
436 comprehensive review of various toxic industrial material data  
437 list as part of the standards development program and con-  
438 sulted with several different Government agencies in an effort  
439 to try to identify potential materials that could be iden-  
440 tified as respirable hazards to individuals and then identify  
441 protection necessary for providing respiratory protection.  
442 But as going through this review, we established, the emphasis  
443 was to establish a list of toxic industrial materials and  
444 chemical warfare agents that proposed or that presented a  
445 respirable hazard to the individual and along with that we  
446 came up with a list of . . . it varies from time to time but  
447 it . . . we came up with a list of 170 potential respirable  
448 hazards that would need to be addressed as part of providing  
449 protection for the user. In an effort to try to reduce the  
450 number of tests that are needed for certification, we took a  
451 look at the different materials and categorized them into  
452 agent families with the intent of identifying a test  
453 representative agent to be conducted as part of the certifica-  
454 tion test for each of the identified families and the way that

455 we broke the classification down was to work through identify-  
456 ing the absorbents required to remove the hazard from the  
457 breathing zone of the respirator wearer.

458         Where we ultimately ended up and initially this was  
459 promoted as part of the gas mask standard, but the protections  
460 that we are providing or providing the gas mask standard as  
461 well as in the air-purifying escape respirator will protect  
462 against 139 potential respirable hazards. We ultimately ended  
463 up using vapor pressure as the single best indicator of the  
464 ability to bond the challenge agent against the carbon used in  
465 the filter. I think of note here and as far as the particu-  
466 late family list includes a list of biological agents as well  
467 as radiological and nuclear agents that we've published in  
468 other forms. The complete list, the complete list of all  
469 these chemicals are available and on our website. If you go  
470 back to the initial June 2002 meeting, the list of chemicals  
471 is available on that site.

472         In terms of the actual gas-life testing requirements,  
473 there are a couple of factors that applicants should be aware  
474 of. One is the identifying the test duration for the equip-  
475 ment and the application that we've identified rating  
476 intervals or duration intervals in 15-minute increments and  
477 this will be specified by the applicant, the manufacturer, and  
478 we will conduct the tests in accordance with the breakthrough

479 or at the test challenges and the breakthroughs that we've  
480 identified to determine the capability of the item to meet  
481 that requirement. In terms of the actual test itself, we'll  
482 be conducting two tests: one at a lower humidity and one at a  
483 higher humidity at relatively room temperature with a 64-liter  
484 per minute flow rate and this is consistent with NIOSH's has  
485 historically done with the industrial respirator testing  
486 program. And also as a result of information that we've  
487 received to the docket, there appears to be a need or a  
488 concern over the capacity of these systems or any respirator  
489 system at a higher flow rate so we've included a panic demand  
490 requirement as part of the gas-life testing where we will  
491 expect the respirator to provide a minimum service life of  
492 5 minutes when we test at a 100 liters per minute.

493 In defining the test challenges for the respirator that  
494 we ultimately ended up with a multiples of at least three  
495 times the ideal H (phonetically) in determining the test  
496 challenges. The breakthroughs that you see in the second  
497 column are either set at one-half the permissible exposure  
498 limit or at the American Industrial Hygiene Association's  
499 Emergency Response Planning Guidelines and what these  
500 guidelines are are the maximum concentration and air that  
501 individuals can be exposed to for up to 1 hour without experi-  
502 encing or developing irreversible health effects. Really the

503 intent in trying to set these, the challenge and breakthrough  
504 up was to maintain a balance, a proper balance of requirements  
505 for the filter to ensure that we can cover a broad range of  
506 potential respirable hazards but yet still provide the  
507 adequate protection to the user to the worker to be able to  
508 exit from the site of an emergency where he would have to wear  
509 one of these devices. As Les had mentioned, I think the area  
510 which is new from the last time we were together in April was  
511 with regard to identifying specific requirements in response  
512 to some of the information and comments that we received  
513 through the docket and also from stakeholders that we felt it  
514 was important to delineate the requirements for the specific  
515 category that there was a need to provide some structure to  
516 identifying the test challenge requirements for the system  
517 where we ultimately ended up is that we took a look at air  
518 purifying, the gas mask standard and the test challenges for  
519 the air-purifying escape respirator are based on the require-  
520 ments on the gas mask standard. The only difference is in the  
521 breakthrough values that were set and the breakthroughs are  
522 consistent with what we set up in a specific category and the  
523 one point I did want to try and make clear in determining the  
524 specific category is that we felt based on the feedback we  
525 received and the discussions that we've had internally with  
526 the project team, we need to provide the general protection,



527 the across-the-board protection to the worker to the wearer of  
528 the respirator in addressing all of the CBRN hazards that were  
529 identified as part of the program and where we feel it's  
530 advantageous with the specific category is that it gives the  
531 leeway for the manufacturer for the applicant to go ahead and  
532 identify certain chemicals that they may want to enhance to  
533 provide additional protections whether it be ammonia or  
534 formaldehyde or cyclohexane or a combination of where we can  
535 enhance or manufacturer can enhance those certain test  
536 representative agents to provide an additional capability and  
537 that can be tailored towards a specific user community or a  
538 specific user need.

539         We covered the benchmark testing. A lot of the benchmark  
540 testing that we conducted in the April meeting and in summary  
541 at least with the testing that was conducted, the benchmarking  
542 of existing products performed fairly well. In terms of where  
543 we saw shortfalls were in the areas of ammonia and nitrogen  
544 dioxide and in part of addressing the ammonia concern we  
545 looked at the, in setting up the original test matrix for the  
546 benchmark testing, we used the initial concepts that we had  
547 promoted for the test challenges and the test breakthroughs  
548 which were more restrictive or more intense than what we  
549 currently have specified. There may be some better per-  
550 formance in with the commercially available products, but we

551 haven't re-evaluated them at the existing breakthrough con-  
552 centrations. With the nitrogen dioxide, we were originally  
553 sampling for NO and NO2 as is done with the gas mask standard,  
554 but we consulted with toxicologists within NIOSH to try and  
555 make a determination whether or not the amount of NO that  
556 would come through the filter media would present a hazard to  
557 the wearer then we were able to make a determination that the  
558 amount of NO that would come through the filter during the  
559 timeframe that the device would be worn would not be pre-  
560 senting a respirable hazard so we were only sampling for NO2  
561 in that test and that may make a difference in the ultimate  
562 results. And again, this information, we do not have the  
563 charts for the April meeting up on the website yet. We'll  
564 probably have them up at the same time that we get the charts  
565 up for today's presentations on the site and the benchmark  
566 data will be available through the website.

567 To move to another topic in brief, we discussed the  
568 chemical warfare agent testing requirements at the April  
569 meeting for the air-purifying escape respirator. Those  
570 requirements have not changed. These are consistent with what  
571 was previously presented as well as what's currently being  
572 done for the gas mask standard. And likewise this is still a  
573 requirement for the sulfur mustard test. And with that, I'll

574 open up if there are any questions specific to the gas-life  
575 requirements for the chemical warfare agent requirements.

576 **WILLIAM NEWCOMB:** Bill Newcomb, North Safety Products, is  
577 it the intention that these escape respirators could be  
578 approved for specifics at a different time than general, for  
579 instance, a 15-minute general, a 30-minute specific or vice  
580 versa?

581 **JONATHAN SZALAJDA:** I think . . . I'm not sure I under-  
582 stand your question. All the general requirements have to be  
583 met for the, that the manufacturer specific either 15, 30, or  
584 whatever identified rating period and that's what will test  
585 to. If you wanted to provide an enhanced capacity for the  
586 general respirator, we would expect you to submit . . . if you  
587 pick ammonia, you want to provide enhanced ammonia protection  
588 that we would test at those specified concentrations for the  
589 manufacturers, the applicants identified duration.

590 **WILLIAM NEWCOMB:** The question really . . . if you look  
591 at the concentrations the contaminants, if you had a 30-minute  
592 general, you would probably have a 15-minute specific on each  
593 of the specifics.

594 **JONATHAN SZALAJDA:** Okay now I think I understand your  
595 question now. We would probably have to evaluate that in  
596 terms of the actual requirement if you wanted to specify that  
597 you wanted to a joint approval as a general and a specific

598 application and then we would need to do the gas-life testing  
599 for the specific requirement.

600 **WILLIAM NEWCOMB:** I just think it would be confusing to  
601 the users.

602 **JONATHAN SZALAJDA:** Okay, that's a good point.

603 **UNKNOWN:** If I understand your question, you're talking  
604 about the duration of use versus the general category and the  
605 specific category so you may want to increase a specific  
606 category but you're saying would that change the timeframe if  
607 you had enough capability in the cartridge to say 30 minutes  
608 for a specific application and 15 minutes for a general. I  
609 also think it would be very confusing to have different  
610 timeframes on the cartridge and we will have a discussion  
611 about it and invite your comments for the docket, but it does  
612 seem like as though each application should be for a stan-  
613 dardized timeframe. Users are not going to be standing around  
614 thinking how long they have protection for one agent versus  
615 the next one. They won't know it's there, but we would like  
616 your comments for the docket and we will debate that in house.  
617 Thanks.

618 **MIKE KAY:** Good morning. Mike Kay, Ocenco Incorporated.  
619 42CFR allows for multiple durations below 15 minutes above  
620 60 minutes. Why break these down into 15-minute increments?  
621 What's the rationale for that?

622           **JONATHAN SZALAJDA:** Part of our evaluation, we looked at  
623 that comment earlier. We really didn't see that for this type  
624 of device not really knowing where the escape respirator could  
625 be used for a larger building, a multi-story building in a  
626 large complex in terms of the person escaping from a potential  
627 event having a specific time requirement to get from one spot  
628 to another. We didn't really see it being advantageous to  
629 have a 3-, 5-, 8-minute interval for the (inaudible) capacity  
630 of the respirator and given the potential . . . one of the  
631 potential applications for use and not knowing exactly where  
632 the systems were going to be or going to be used or be placed  
633 that having that extra capacity we felt was important.

634           **MIKE KAY:** Well if it's a CBRN event or a non-CBRN event,  
635 the user doesn't know that they would purchase an apparatus of  
636 any duration. Again, why would a CBRN event require a  
637 15-minute escape when a non-CBRN event may . . . you could  
638 have a 10-minute, 5-minute, you could have a greater than  
639 60-minute respirator. You seem to draw a distinction between  
640 a CBRN and a non-CBRN event.

641           **JONATHAN SZALAJDA:** These respirators are designed in  
642 response to an event of terrorism. Now the intent is to  
643 provide protection for the workers in a terrorism event where  
644 a CBRN which could be a tech/bio/rad/nuke type of device could  
645 be used. I think if you were looking at taking the device and

646 having it approved for another application, an industrial-type  
647 application, our existing NIOSH requirements in place to take  
648 those devices for specific hazards and provide protection in  
649 relation to where an event has been categorized but we're  
650 dealing in developing of the CBRN standard. We're dealing  
651 with unknown, uncontrolled, unquantified types of events where  
652 we're trying to develop a and provide a balance of capacity in  
653 what the respirator can provide.

654 **LARS RONNER:** Lars Ronner from Sundstrom Safety, why is  
655 not any requirement for carbon monoxide for specific category?

656 **JONATHAN SZALAJDA:** Oh thank you, that is a good point.  
657 We, um, it didn't, it wasn't captured on the chart. There  
658 will be a requirement for carbon monoxide identified. I think  
659 it's identified in the concept paper, but that will be an  
660 option for the manufacturer to do to submit a piece of  
661 equipment that provides carbon monoxide protection. That will  
662 be included as part of the specific category.

663 **BODO HEINS:** Bodo Heins from Draeger, in your intro-  
664 duction you showed that for the high category it has to be in  
665 a self-contained breathing apparatus and for specific and  
666 general air-purifying, what's that mean that we cannot get  
667 approved and unit oxygen supply for specific?

668 **JONATHAN SZALAJDA:** Well for the self-contained unit  
669 which we'll be addressing in greater detail this afternoon,

670 you know you're dealing with a supplied area, some sort of  
671 oxygen source type system. There are no gas-life requirements  
672 associated with that. There's no filter with those types of  
673 systems. What we're looking at in terms of the higher concen-  
674 trations are dealing and identifying the requirements are  
675 dealing with the potential of credible events that we iden-  
676 tified as part of the SCBA program as with the initial  
677 modeling that we did in conjunction with the Army and  
678 identifying the tests that would be required for the system to  
679 resist the chemical warfare agent penetration and permeation  
680 and provide adequate protection for a person in a high  
681 concentration type environment.

682 **WILLIAM NEWCOMB:** Bill Newcomb, North Safety Products,  
683 when we're talking about carbon monoxide as an option, carbon  
684 monoxide is usually associated with a product combustion. Yet  
685 the flammability requirements are not optional. Well I don't  
686 think that an escape respirator should be made out of a  
687 flammable material. I'm wondering if the requirement that's  
688 in there for flammability is a little stringent for the appli-  
689 cation. Not talking about is something that's specifically  
690 designed for escape from a fire.

691 **JONATHAN SZALAJDA:** Thank you for bringing that point up  
692 too with the carbon monoxide requirement there'd also be a  
693 requirement if you choose to provide protection for carbon

694 monoxide, there's also a flammability requirement associated  
695 with that and for the flammability requirement we are using an  
696 existing EN standard. I believe it's EN136 to conduct that  
697 test. If there are alternate types of tests that we feel we  
698 should consider, we would welcome you know to bring those to  
699 our attention.

700       **KAREN NELSON:** Karen Nelson, Safety Matters Agent for the  
701 Phoenix Protective Hood, I wanted one question. Should the  
702 concept for the CBRN escape respirator standard contain any  
703 suggestions regarding weight and dimensions of this escape  
704 hood. Also, the 3,500 ppm carbon monoxide requirement, I'm,  
705 why did they find concentrations so high in something that  
706 could be like a 15-minute escape respirator. It takes, I mean  
707 that's, it just seems high to me. I've been in a lot of test  
708 chambers. It took us a long time to get it up to 1,200 ppm in  
709 a small room contained when we were monitoring it so I can't  
710 imagine if you were leaving an area where there was a carbon  
711 monoxide, a fire say that you would encounter concentrations  
712 that high.

713       **JONATHAN SZALAJDA:** Okay, thank you. I guess like with  
714 all the other requirements, we try to base the carbon monoxide  
715 challenge and the breakthroughs based on either a multiple of  
716 the ideal H (phonetically) or the permissible exposure level  
717 for the breakthrough or the Industrial Hygiene Association's



718 Emergency Response Planning Guidelines and we've tried to use  
719 those numbers consistently throughout the identification of  
720 the requirements for the testing and if we feel there are  
721 other values that are appropriate, we welcome your comments on  
722 that as well. I missed your first question.

723         **KAREN NELSON:** Regarding suggestions regarding size and  
724 dimension. I'm assuming that an escape respirator even  
725 though, of course you want CBRN capabilities that this would  
726 be something that we can use in a much more likely event that  
727 any civilian anywhere in the country would encounter a fire,  
728 an ammonia spill, or industrial accident.

729         **JONATHAN SZALAJDA:** Oh, okay I guess just for the docket  
730 in case anybody missed it. The comment is related to the size  
731 and weight of the units and it has been one of the considera-  
732 tions that we've been in considering or one of the topics that  
733 we've been considering as part of the evaluation of the stan-  
734 dard and you know while we feel we're getting closer to having  
735 the goal, we haven't fully sat down and discussed size and  
736 weight considerations and we'll make a determination between  
737 now and the next release of the concept paper with that  
738 requirement.

739         **WILLIAM NEWCOMB:** Bill Newcomb again, I was looking at  
740 the June 15<sup>th</sup> draft where it indicates all specific and general  
741 hoods would be subjected to the flammability test whereas the

742 previous draft limited to those with carbon monoxide. So I'm  
743 a little confused with your answer to me.

744 **JONATHAN SZALAJDA:** Okay, the intent is if you have the  
745 carbon monoxide requirement, then we would do the flammability  
746 test for the air-purifying respirator.

747 **WILLIAM NEWCOMB:** Thank you. One of the, there is an EN  
748 standard for hoods, flammability as well that I wanted to  
749 point out. I'd also like to address the last commenter that  
750 the weight and size are market driven. If the product fits  
751 the panel that NIOSH is requiring it to fit, then it should  
752 let the market drive things like weight. Those are design  
753 constraints and not performance requirements. Thank you.

754 **JONATHAN SZALAJDA:** Okay Rich?

755 **RICHARD METZLER:** Rich Metzler, NIOSH, I do want to  
756 respond to the size issue. Size is more important and some of  
757 it does need to be in the form of the standard and you did  
758 mention testing or passing the fit test. In our benchmark  
759 testing, we found that some of the respirators that we tested  
760 and we tested only three of what we thought were the best  
761 among those on the market from three reputable companies and  
762 what we found out was size does matter. Some of the neck dams  
763 do choke individuals. Some of the size of the hoods do not  
764 allow for the internal nose cup to properly be seated on a  
765 face. Size matters and it will end up in our standard.

766           **JAY PARKER:** Jay Parker with the Bullard Company just to  
767 amplify what Bill was saying. I also think we should use the  
768 EN standard for hoods for flammability which I did mention  
769 back in the April meeting. It's EN 270. Also on the service  
770 life testing, you know that can be affected by breathing back  
771 through the filter or cartridge. Is there a requirement to  
772 have inhalation and exhalation valves on these units because  
773 some of them may have integral type?

774           **JONATHAN SZALAJDA:** Yeah, there's a breathing resistance  
775 requirement in the concept paper for both inhalation and  
776 exhalation.

777           **JAY PARKER:** But that doesn't mean . . .

778           **JONATHAN SZALAJDA:** That doesn't require a valve, right

779           **JAY PARKER:** So there could be a unit that doesn't have  
780 exhalation or inhalation valve?

781           **JONATHAN SZALAJDA:** Right. Having a valve isn't required.

782           **JAY PARKER:** Thank you.

783           **LARS RONNER:** Lars Ronner, Sundstrom Safety, again.  
784 Talking about the flammability tests, the European standard  
785 136 contains two flammability tests. One test with a single  
786 burner with at 800 °C; six-burner test at 950 °C. The only  
787 reason for the six-burner test is that the fact the full-face  
788 mask are used together with an SCBA breathing apparatus.

789 Could you explain the reason to have a six-burner at 800 °C  
790 which do not exist in the European standards?

791 **JONATHAN SZALAJDA:** Yeah, I guess the one thing that I  
792 don't know if it came out in the concept paper, we were  
793 looking at doing a single-burner test not a six-burner.

794 **LARS RONNER:** You're talking about a single burner?

795 **JONATHAN SZALAJDA:** Yes.

796 **LARS RONNER:** Thanks.

797 **JONATHAN SZALAJDA:** I'm glad I got the ball rolling this  
798 morning.

799 **GÖRAN BERNDTSSON:** Göran Berndtsson from SEA, (inaudible)  
800 sizes, couldn't sizes be dealt with through the test panel so  
801 it doesn't have to be sizes saying it has to be this size or  
802 this size? It is spread over a test panel which means you  
803 take away the size restrictions.

804 **LES BOORD:** If I could, I think the issue on sizing and  
805 so forth perhaps gets into our next discussion. So maybe we  
806 could sort of defer that a little bit.

807 **UNKNOWN SPEAKER:** I want to make a comment on the  
808 inhalation and exhalation valve. While the concept paper  
809 itself doesn't specify the need for either of the two valves,  
810 it is very much performance driven which will become obvious  
811 when we start talking about the breathing gas control. Okay,

812 I mean it is, the factors are breathing gas control and then  
813 obviously resistance.

814 **BODO HEINS:** Bodo Heins from Draeger, when I read the new  
815 draft and came to the part 2, I got the impression that the  
816 hood connector for the respirators only required for the  
817 height category. Is it right or I guess a hood required for  
818 the whole escape?

819 **JONATHAN SZALAJDA:** Yeah, there's a hood required for  
820 each class of respirator, but the air-purifying is self  
821 contained.

822 **LES BOORD:** The next area that we want to talk about is  
823 the LRPL, laboratory respiratory protection level, requirement  
824 and Mike Bergman is going to present to you some of the  
825 details of the work that has been done in this area since our  
826 last meeting in April, but before we get into those details,  
827 I'd like to just go over a few things relative to the require-  
828 ment. In my estimation and I think I probably mentioned this  
829 at the April meeting. I think that the LRPL is probably the  
830 most difficult part of the escape respirator standard and the  
831 reason is that we're talking about defining and applying  
832 anthropometrics data from anthropometrics that really has not  
833 been brought together in a requirement criteria previously.  
834 So we're looking about identifying the anthropometrics that  
835 are critical and of important to ensuring that you have a hood

836 that is properly fits, properly fits the test subject. So  
837 we're talking about the parameters, the anthropometric parame-  
838 ters of certainly head size, neck size, circumference of the  
839 neck, but in addition we find a lot of variation in the escape  
840 respirators. Some of them have inner masks, so the inner mask  
841 needs to be considered which means you need to somehow factor  
842 in the face length and face width which we're all familiar  
843 with from our previous work with the Los Alamos panel and full  
844 facepiece respirator fit testing, but the difficulty is that  
845 on a hood, you have all these things at one time. You can  
846 have an inner mask, so face length/face width are important,  
847 but you have a hood so that the neck circumference is impor-  
848 tant, but by the same token, the hood needs to go over the  
849 head. So you have this inner play of all these different  
850 variables and dimensions that come into the equation here for  
851 trying to determine how we can properly evaluate hoods and  
852 sizes of hoods. So I think it's very complex. Some of the  
853 information that you're going to see today will sort of  
854 identify to you the logic and the thought process that we've  
855 applied to come to the concept that's identified in the  
856 June 15<sup>th</sup> addition of the panel. One of the key things that we  
857 found in our testing in our breathing gas testing, the two  
858 kind of merge here, okay is as Rich mentioned, we found a lot  
859 of human interface issues, let's say, associated with using

860 hood-type escape respirators and those issues those human  
861 interface issues are indeed and can be and appear to be size  
862 dependent. So we have the aspects of tightness on the neck,  
863 fitting over the head, fitting a nose cup to the facepiece,  
864 and how that's done effectively. Our directions and our  
865 concepts are in the June 15<sup>th</sup> concept paper where we identify a  
866 test panel. The test panel does I think for the first time  
867 actually try to take, it does take a step to identify criteria  
868 for small, medium, and large and also a tool or mechanism for  
869 relating the parameters (face length, face width to neck  
870 circumference) and how we are proposing to approach that and  
871 evaluating hoods. So with that, I'd like Mike to come to the  
872 microphone and Mike's going to walk through some of the  
873 analysis that he's done that's been used to construct the  
874 concept the way it's identified in the June 15<sup>th</sup> paper.

875         **MIKE BERGMAN:** Thank you and I'd like to start out by  
876 thanking our partners at SBCCOM for their help and their  
877 consultation on this concept and also like to thank the panel  
878 members here and others in NIOSH who have helped with this  
879 concept.

880         The purpose of the LRPL is to establish a bench-mark for  
881 performance in the laboratory for protection. It's not  
882 intended as an indication of protection for an actual escape  
883 scenario. The challenge we're up against here is that the

884 data on actually fitting hoods and response to anthropometric  
885 parameters is limited and again we're trying to bring together  
886 all of these anthropometric parameters (head circumference,  
887 neck circumference, face length and width). We still require  
888 a review of the data on the distribution of population in  
889 response with these parameters. The (inaudible) the challenge  
890 aerosol criteria remains the same. It's a 20 to 40 milligram  
891 per cubic meter corn oil aerosol challenge with a .4 to  
892 .6 micrometer mesh median aerodynamic diameter. We believe  
893 that the option for multiple hood sizes is important for the  
894 user to select the best fitting hood and we've seen that the  
895 problems with the human interface if the neck seal is too  
896 tight, it's uncomfortable. Also the inability to fit the head  
897 through the neck seal and we want to ensure that if the unit  
898 has an inner nose cup that it fits properly and also if  
899 there's an interior head harness, it's important that it fits  
900 correctly to ensure that there's a proper fitting of a nose  
901 cup or interface cup seal. And the one-size-fits-all option  
902 is also available.

903       The anthropometric parameters that are considered in this  
904 concept are the neck circumference, head circumference, face  
905 length and as an addition now the face width. There are two  
906 LRPL values: the breathing zone LRPL which will remain at  
907 2,000 and now the addition of the under-the-hood LRPL which is



908 simple location under the hood but outside of the breathing  
909 zone and I'll get to the rationale for that. We believe that  
910 the 2,000 LRPL and the breathing zone is consistent with the  
911 current hood technology and I have some data from SBCCOM that  
912 will show that it's possible.

913         What you see here is a chart of six hoods labeled A  
914 through F. This is LRPL testing from SBCCOM. What's impor-  
915 tant here is the past percent at 2,000 which is a cell in the  
916 first row. That indicates the percentage of trials for each  
917 hood that is at least 2,000. It could be 2,000 or greater.  
918 What you see here from these 6 hoods there is only 1 that had  
919 a past percent of 2,000 that is greater than 95% although  
920 there are 4 hoods that are in the low 80s and approaching 95%  
921 so we see that it is possible.

922         The rationale for the under-the-hood LRPL is we want to  
923 protect users from an impairment of the vision due to expo-  
924 sure. It is based on a percutaneous ECT50, an effective dose  
925 for GB and with that effective dose it is possible to have a  
926 slight reduction in vision, eye injury, and the pupils react-  
927 ing weakly to light.

928         Further discussion on that, it is based on the percuta-  
929 neous limits for GB. The LCT50 of 10,000 which is the median  
930 lethal dosage and the ECT50 of 1,200 CT which where a user  
931 could experience mild visual effects and so we come up with a

932 15 by dividing the outside CT of 10,000 which is the challenge  
933 CT by 1,200 CT and we arrive at approximately 15. We multiply  
934 that by a safety factor and arrive at 150. The rationale for  
935 the size ranges come from a published study in the Department  
936 of Defense Military Handbook. The author is Gordon and it is  
937 a 1988 Anthropometric Survey of U.S. Army Personnel. The  
938 ranges from that set of data covered the 5<sup>th</sup> percentile through  
939 the 95<sup>th</sup> percentiles for both men and women. That is for head  
940 circumference and neck circumference. For size in the face  
941 length and width, that's adopted from the Los Alamos panel  
942 which is also the criteria that we have for the CBRN, SCBA,  
943 and air-purifying standards that are currently passed.

944         This is from the Gordon study of military personnel and  
945 what I have here is a chart with the 5<sup>th</sup>, 50<sup>th</sup>, and 95<sup>th</sup> per-  
946 centiles for men and women with their neck circumference and  
947 head circumference. This is a graph of the percentiles of  
948 neck circumference and what we see here is an overlap of the  
949 ranges for the medium size hood of neck circumference range  
950 for the women and the men. For the head circumference, we are  
951 currently only looking at the large head size which is from  
952 the 50<sup>th</sup> through the 95<sup>th</sup> percentile of men which also covers  
953 the top of the population for the women. This is the subject  
954 matrix that we have arrived at the columns, small, medium, and  
955 large. If, for example, it's a three-size model, the small

956 size would have to meet all the criteria for the small column,  
957 the medium size for the medium column, and the large for the  
958 large column. If it's a one-size-fits-all model, it would  
959 have to meet separately the criteria for the small and the  
960 medium and the large. For selecting the panel, it's possible  
961 that, for example, cell A for the small that is face length  
962 and face width, if you select subjects for that cell and those  
963 subjects also meet the criteria for the neck circumference for  
964 the small cell C, you can use those subjects simultaneously  
965 tested for the criteria of that cell. And again, for the head  
966 circumference, currently we're not looking at the criteria for  
967 the small and the medium sizes. There's a change in this  
968 slide from what's printed in the handout of the concept and  
969 that is in cell H, the large circumference, the change is now  
970 568 millimeters. It was 569 and the reason for changing that  
971 is to include from the 50<sup>th</sup> percentile man head circumference  
972 at 568.

973       Here's an example of the requirements for simultaneously  
974 including subjects. If it's a large hood, for example, and  
975 there are no overlapping parameters for those subjects, you  
976 would have a total for the large size 31 subjects. That's  
977 11 subjects from the face length and width cell, 10 from the  
978 head circumference cell, and another 10 from the neck  
979 circumference cell. If you select your 11 subjects for the

980 face length and width cell and if 10 of those subjects also  
981 meet their requirements for head circumference, they can be  
982 tested simultaneously with those same subjects, but if they do  
983 not meet the requirements for cell I for neck circumference,  
984 you would have to recruit 10 more subjects for that cell.

985         And we now have a slide here. It's a chart of the mini-  
986 mum and maximum subject requirements, subjects required for  
987 testing. If it's a, for example, three-size unit (small,  
988 medium, or large), then you would have to find subjects for  
989 those cells. If it's a one-size-fits-all unit, then you'd  
990 have a minimum and maximum subject number as well. That's all  
991 and we will welcome your comments and questions.

992         **WILLIAM NEWCOMB:** Bill Newcomb, North Safety, how does  
993 NIOSH intend to address the subjective things like the fact  
994 that the neck seal is choking someone?

995         **LES BOORD:** Good question, what we intend to do is  
996 introduce a practical performance requirement that will be  
997 part of the evaluation of the respirators and the issues that  
998 we'll talk about a little bit later that became significantly  
999 important in our testing will be used to evaluate and estab-  
1000 lish those practical performance evaluations.

1001         **WILLIAM NEWCOMB:** Thank you.

1002           **GÖRAN BERNDTSSON:** Göran Berndtsson from SEA, did I  
1003 understand you right? We're talking about three sizes only?  
1004 Is that what we're talking about here?

1005           **LES BOORD:** We have the ability with the anthropometric  
1006 panel that we've identified. We segmented it into three  
1007 sizes, correct.

1008           **GÖRAN BERNDTSSON:** We, as you know, we're working a lot  
1009 on this particular part in the eye system\*, but then, of  
1010 course, you have the same problem in the United States as we  
1011 have in the world. We will have a big mixture of Asian and  
1012 other types of ethnic groups and they could have big heads and  
1013 small noses so big heads and small faces and this is a . . . I  
1014 would assume that you would like to have three sizes on the  
1015 hood and the neck. That where you have three sizes on the  
1016 inner masks so you can go up to six and nine sizes.

1017           **LES BOORD:** Well there are three . . . You have that  
1018 capability within the panel that we've identified because you  
1019 do have the face width and face length considered but then you  
1020 also have the uniquely or you have the neck diameter as well  
1021 as the head circumference to consider into the equation as  
1022 well. The problem and the problem that we see is that we're  
1023 talking in some cases it's like apples and oranges. You don't  
1024 know the relationship between this and this so our approach is  
1025 that you do see and you do expect that there will be overlap

1026 between those, but we don't expect it 100% of the time. And  
1027 in those cases, then you need . . . according to the concept  
1028 that we've identified, you need to uniquely look at those  
1029 parameters that don't overlap.

1030 **GÖRAN BERNDTSSON:** Just for your information, we got hold  
1031 of a publication that was done by the U.K. government who has  
1032 actually a very comprehensive face sizes, neck sizes, head  
1033 sizes on the very broad population taken out from the number  
1034 of surveys around the world. May be we should have a look at  
1035 that.

1036 **LES BOORD:** Yes, we would certainly be interested in  
1037 looking at that anthropometric data. Thank you.

1038 **RICH STEIN:** Rich Stein from QPS, I have a question about  
1039 the protection factor of 2,000. For example, you showed that  
1040 six hoods had been tested and that one barely made the 95<sup>th</sup>  
1041 percentile level. Has anyone done any testing on repeat of  
1042 those hoods because there was wide variation and person-to-  
1043 person protection factor testing? One of the things that I'm  
1044 concerned about at 2,000 is that's about as high a number as  
1045 I've ever seen on any product anywhere. The military which  
1046 has five sizes and a very limited sized population has a 1,667  
1047 and one of the things from a practical matter is that you  
1048 could pass the test today. Let's say you had 20 subjects and  
1049 you tested that same 20 subjects 6 months later on a QA audit

1050 and one of them fails which is not unusual because they can  
1051 either pass or fail it at 2,000 on any given day and now  
1052 you've got units in the field and what do you do about that?

1053 **LES BOORD:** So, well . . .

1054 **RICH STEIN:** Let me just continue, Les, a second. One of  
1055 the things that you showed here is why you've had a PF  
1056 requirement of 150 in the hood and you showed you wanted a  
1057 certain margin of safety what at 10,000 CT, etc. Have you got  
1058 a slide equivalent to that showing where you found and what  
1059 was the rationale for the 2,000?

1060 **LES BOORD:** First of all the 2,000 is the same level of  
1061 protection that we've identified or the same level of per-  
1062 formance that we've identified in the full facepiece gas mask  
1063 analysis and that analysis does have a rationale that produces  
1064 the 2,000 number, okay, and it's based on a number of dif-  
1065 ferent variables and I can get that information for you, but  
1066 secondly, I wanted to comment on the data that was illustrated  
1067 relative to the testing that's been performed and the level of  
1068 protection the 2,000 performance level for the ABC whatever it  
1069 was, 6 different respirators. The thing that you need to keep  
1070 in mind there is that those respirators were not necessarily  
1071 designed, at least not to my knowledge, designed against a  
1072 specific-size criteria. What we've done in our concept is  
1073 defined requirements for what those size criteria would be.

1074 The fact that that one indicated a greater than 2,000, 95.7, I  
1075 think, greater than 2,000 was a design that was covering the  
1076 range, okay. I think when you focus on size, if your seal is  
1077 indeed achieved by the neck dam. I think when you focus on  
1078 size, the design capability is there to achieve the numbers.

1079 **MARY TOWNSEND:** I'm Mary Townsend. I'm adjunct at the  
1080 University of Pittsburgh and I have a comment related to this  
1081 man's comment about the general population and that is did you  
1082 inquire whether the National Center for Health Statistics in  
1083 Haines, the National Health and Nutrition Examination Survey  
1084 that was conducted across the entire U.S. population, sampled  
1085 heavily Caucasian, Hispanic, African-American, did they do  
1086 this kind? They measured lots of things. I know lung func-  
1087 tion I'm especially familiar with, but did they measure head  
1088 size and things like that. It was just in the late, early  
1089 nineties I think.

1090 **LES BOORD:** To answer your question, I cannot answer it  
1091 specifically relative to the cite of reference that you made,  
1092 but I can answer in general that we did research potential  
1093 sources for the anthropometric data because we were very keen  
1094 on trying to find what the variables were and really what we  
1095 wanted to try to do was connect them. We wanted to try to  
1096 find out perhaps what those relationships were and we have  
1097 been unable to do that to our satisfaction at this point.



1098           **MARY TOWNSEND:** I'll check and see whether any . . .

1099           **LES BOORD:** That would be great.

1100           **MARY TOWNSEND:** I forgot about that too.

1101           **LES BOORD:** And anybody, we would certainly welcome any  
1102 anthropometric information that is available to make that  
1103 known to us. Any other questions?

1104           So you can see my opening remarks. They say that the  
1105 LRPL is I think one of the most difficult and challenging  
1106 aspects of the escape respirator standard because, just  
1107 because of these variables and the lack of scientific infor-  
1108 mation, technical information, connecting and establishing  
1109 those relationships. We have done, as you've seen, quite a  
1110 bit of work to analyze existing data and try to form it into  
1111 an approach to define a requirement. We are obviously  
1112 breaking new ground in defining the panel and in defining the  
1113 way the panel will be applied to testing a performance  
1114 requirement.

1115           **GÖRAN BERNDTSSON:** Göran Berndtsson from SEA again, last  
1116 question, are you going to adjust the sizes in the heads of  
1117 smart man to accommodate these different neck sizes and so  
1118 forth.

1119           **LES BOORD:** Good question, but the smart man, you need to  
1120 keep in mind that the smart man testing requirement is focused  
1121 on a different performance. When we do the smart man testing,

1122 we're not looking at the seal of the respirator or the inner  
1123 face between the respirator and the mannequin. We're looking  
1124 at the performance of the respirator and the functioning of  
1125 the materials in that chemical warfare environment.

1126 **GÖRAN BERNDTSSON:** That's true, but it's relied on the  
1127 inner mask sealing on the face. If you have a bit of leakage  
1128 on the inner mask on the smart man, you will create a negative  
1129 pressure inside the hood and if you don't have a good tight  
1130 seal around your neck, you will have leakage into the hood  
1131 then. That's not because it doesn't work too well, yes, but  
1132 it always doesn't work on the smart man.

1133 **LES BOORD:** And that is as well a good comment, but the  
1134 smart . . . and to perhaps take it another step, I think the  
1135 smart man is available in multiple sizes. There are small,  
1136 medium, and large smart man for that type of situation. That  
1137 I need to defer to our SBCCOM partners including the net.  
1138 Yes.

1139 **UNKNOWN ZONG:** This is (inaudible) Zong from NIOSH also.  
1140 I just want to let everyone here know that our project is  
1141 going well. We have measure almost like 4,000 worker rates so  
1142 far by (inaudible) height and weight and lately we also had  
1143 another dimension to measure the neck size. Also as soon as I  
1144 let them know that, we need to consider that dimension, but we  
1145 do have the head circumferences and the other things that I

1146 mentioned so our data correction is expected to finish by the  
1147 end of the month and then we'll look at the data, analyze the  
1148 data so by that time if we see, yes, a significant differences  
1149 we'll revise the panel and we'll incorporate that into the new  
1150 standard.

1151           **LES BOORD:** Thank you Dr. (inaudible). I forgot to  
1152 mention that we have been working with Dr. Z in establishing  
1153 the parameters that we talked about today. Just one other  
1154 thing I would like to, two things that I would like to cover.  
1155 One I'd like to backtrack a little bit, back to the comment of  
1156 size and practical performance. I think you can see the  
1157 direction that we're going. Okay, we do see that the sizing  
1158 of the respirator and the human interface is an important  
1159 criteria and we need to be able to address that, but secondly  
1160 I would like to talk about the size and weight in terms of  
1161 perhaps the package and the envelope and that's where I think  
1162 that . . . So I think that we have two different topics on  
1163 size and weight and I think the size and weight can't fall  
1164 into the design requirement as opposed to a performance  
1165 requirement, okay, of the package and I think in my opening  
1166 remarks as I mentioned, the design requirements that we have  
1167 identified thus far are kind of minor or kind of minimal not  
1168 minor but minimal and I think that's probably good because  
1169 that indicates we're achieving the performance that we want or

1170 the operation we want through performance requirements. With  
1171 that, we're going to . . . we're running a little bit behind,  
1172 but we're going to take a 15-minute break.

1173       Okay, if we're ready to resume . . . Continuing on with  
1174 the requirements and the areas of the requirements that we've  
1175 looked at since the April meeting gets us to the topic of  
1176 breathing gas control and to start this discussion what I'd  
1177 like to say is that the definition that's in the June 15<sup>th</sup>  
1178 concept paper is actually a little confusing because it's the  
1179 blend of two previous, two previous contests and it wasn't  
1180 quite, it didn't come out quite the way we wanted it to in the  
1181 June 15<sup>th</sup> edition. So what I want to do at the beginning here  
1182 is identify what that requirement is and then I want to talk  
1183 to you a little bit about how we get to the point to identify  
1184 that requirement and then we'll take some questions.

1185       But basically the concept for breathing gas control and  
1186 we're talking about carbon dioxide and oxygen in the breathing  
1187 zone for the respirator. The concept requirement is that for  
1188 carbon dioxide to maximum average inhaled concentration of  
1189 2½%. The 2½% is actually a 42 CFR, Part 84 requirement iden-  
1190 tified for self-contained breathing apparatus so that is the  
1191 maximum and actually it's a sliding scale. If you're familiar  
1192 with 42 CFR, it depends on the duration of the device. I  
1193 think for less than 30 minutes it's 2½%. For 30 minutes to

1194 60 minutes, I believe it's 2% and then it continuously changes  
1195 with the duration of the unit. That is the requirement that  
1196 we are invoking for or attempting to use for CO2 so the maxi-  
1197 mum is 2½%. The oxygen the minimum inhaled oxygen concentra-  
1198 tion is 19½% and again that's identified in 42 CFR, Part 84.  
1199 The way we intend on establishing conformance with that  
1200 requirement is through human subject testing. Okay, so to  
1201 establish and evaluate CO2 and O2 breathing gas performance,  
1202 we will test it using human subjects. The criteria will be is  
1203 that we will have two different weight categories that we look  
1204 at: first one greater than or equal to 80 kilograms and then  
1205 less than or equal to 60 kilograms. And the test subjects  
1206 will wear the respirator for the duration, rate of duration of  
1207 the unit and we'll have three levels of activities: standing,  
1208 walking at 2.5 miles per hour on a treadmill, and walking at  
1209 3.5 miles per hour on the treadmill. That's the requirement  
1210 the way it will be editorially revised in the next edition of  
1211 the concept.

1212 Now, how did we get there? In the last meeting in April,  
1213 we reported on testing that we've done relative to evaluating  
1214 breathing gas control. The bench-mark testing that we dis-  
1215 cussed I think to some degree at that meeting was the bench-  
1216 mark testing involving a metabolic simulator and this testing  
1217 involved the escape respirators, various escape respirators at

1218 six different work rates as illustrated in the overhead there.  
1219 We had a low work rate of established at approximately  
1220 .5 liters per minute oxygen consumption and then varying at  
1221 half liter increments, oxygen consumption up to the high work  
1222 rate of 3 liters per minute oxygen consumption. Again, the  
1223 bench-mark testing was performed on commercially available  
1224 escape sets. We performed multiple metabolic simulator tests  
1225 using each respirator and the results of those tests were that  
1226 we observed carbon dioxide levels that exceeded 4%. That was  
1227 common. In fact we had levels I think that went as high as  
1228 perhaps 8%. On the oxygen concentrations, we likewise mea-  
1229 sured levels of oxygen that were considerably less than 19.5%.  
1230 I think in some instances it even went down to under 10%. So  
1231 when we looked at the metabolic simulator data, we obviously  
1232 had some concerns relative to what the requirements should be  
1233 and what was the best way to achieve and establish conformance  
1234 with those requirements. So what we did was we embarked on  
1235 the second part of that bench-mark testing which is what we  
1236 called human subject tests. And to do that we performed human  
1237 subject testing using seven different test subjects: four  
1238 men, three women and we had the tests performed at the work  
1239 rates, three work rates: standing, treadmill 2.5, and  
1240 treadmill at 3.5 miles per hour. The results of this testing  
1241 were that we saw carbon dioxide levels as high as 5.5% and

1242 that would be a maximum average inhaled carbon dioxide concen-  
1243 tration and we saw oxygen concentrations that were down as low  
1244 as 14.8% minimum average inhaled concentration. Now both of  
1245 these values obviously exceed what the requirements that we  
1246 have identified from 42 CFR and that we used for other testing  
1247 of other respirators so both exceed those requirements. But  
1248 the question is: what's the physiological consequences?

1249 The next chart that you see is going to be overpowering  
1250 for you, okay, but there's help. The chart that's on the  
1251 bulletin board is what this is replicated from and the key  
1252 values there, basically, this, to break this down a little  
1253 bit, this shows test results from three different respirators  
1254 using seven different test subjects at the three levels of  
1255 work that we discussed. The areas highlighted in the blue are  
1256 the areas where we experienced and had actual measurements  
1257 that were reflective of the numbers that I mentioned: 5.5%  
1258 CO<sub>2</sub> and 14% oxygen. So I don't want to go into the chart  
1259 during this discussion because I can't read it. So I'm sure  
1260 you can't read it, but it is on the poster illustrated in the  
1261 corner of the room and I think during the breaks Mike will be  
1262 available and will be available to answer any questions that  
1263 you may have relative to that.

1264 Other observations that we had during the bench-mark  
1265 testing and as I think was already mentioned, we did observe a

1266 number of human factors, human subject interface issues and  
1267 these were, ranged throughout the comments that are identified  
1268 here. We had quite a few comments relative to the degree of  
1269 tightness of the neck seal. Hooded respirators primarily  
1270 achieved a seal using a elastic neck membrane. Types of com-  
1271 ments we had: neck constriction, sensation of strangulation.  
1272 And in some instances, we had people who just couldn't com-  
1273 plete testing because of that. Other instances we had:  
1274 people that had negative reactions to wearing and breathing  
1275 through mouth bits and mouth pieces and interfaces between the  
1276 breathing zone and the mouth and some individuals expelled  
1277 mouth bits and so forth. In still other test subjects had  
1278 difficulties donning the respirator, actually being able to  
1279 physically open the neck seal, the neck dam, and stretch it  
1280 over the head. So these are the types of requirements that we  
1281 observed during the testing illustrated on the poster and  
1282 these will be factored into practical performance evaluations  
1283 for the escape respirators. So at that point, basically,  
1284 we've dropped back to the 42 CFR criteria for carbon dioxide  
1285 as I stated at the beginning. We will set the CO2 require-  
1286 ments at 2.5% maximum and with a sliding scale so if it's a  
1287 long duration unit, the CO2 will go and follow the tabulation  
1288 that's identified 42 CFR and the oxygen concentrations at



1289 19.5%. At this point, I think we can open it up for any  
1290 discussions.

1291 **GÖRAN BERNDTSSON:** Göran Berndtsson from SEA, I don't  
1292 know if I misunderstood or was asleep here, but you said  
1293 you're going to test over 80 kilo and under 60 and you  
1294 classified that as two classes. What do you for the people  
1295 between 60 and 80?

1296 **LES BOORD:** What we've observed in the testing? We  
1297 actually have, the situation that you have relative to mea-  
1298 suring the CO<sub>2</sub> and the O<sub>2</sub> is the ventilation rate. Okay, and  
1299 where you have a particular problem is where you have a low  
1300 ventilation rate so you have a low breathing exchange and the  
1301 relationship that has with the dead volume of the mask, okay,  
1302 and typically that low ventilation rate, you're going to  
1303 experience with a light weight individual, okay, in the stand-  
1304 ing conditions. So that's why we wanted to capture per-  
1305 formance at that level. You have the other end of the extreme  
1306 where you have a high ventilation rate where you have a large  
1307 individual who's breathing heavy, okay, who perhaps has a  
1308 different phenomenon that's occurring relative to CO<sub>2</sub> reten-  
1309 tion in the respirator and displacement of the oxygen. So  
1310 those, the testing that we have performed actually indicates  
1311 that those two extremes are the most interesting areas.

1312           **GÖRAN BERNDTSSON:** But, wouldn't that be three classes  
1313 then? What I don't understand is over 80, below 60, and then  
1314 between 60 and 80.

1315           **LES BOORD:** Yeah, but you only, I think when you capture  
1316 the performance at those two, at the two ends, then I think  
1317 the in-between is going to be in line with those worse-case  
1318 scenarios.

1319           **GÖRAN BERNDTSSON:** Okay. The other question is that you  
1320 showed a slide with oxygen uptake and there was five, six,  
1321 seven classes. Can you put that slide up again please?

1322           **LES BOORD:** Now those were for the metabolic simulator.

1323           **GÖRAN BERNDTSSON:** Ah.

1324           **LES BOORD:** Those were tests that were performed on a  
1325 machine, machine tests.

1326           **GÖRAN BERNDTSSON:** Are you going to test those . . .

1327           **LES BOORD:** No. No. This was part of the, this was part  
1328 of the bench-mark testing that we did to, in the development  
1329 process and the process of developing our requirement the  
1330 first thing we did was look at machine testing using a meta-  
1331 bolic simulator operating at these ventilation rates. Now the  
1332 reality is that if you look at the breathing rates that we've  
1333 or the work levels that we've established for the requirements  
1334 standing on a light individual less than 60 kilograms, you're  
1335 probably going to be in the .5 per minute consumption rate.

1336           **GÖRAN BERNDTSSON:** Oh, maybe, but the, what we are doing,  
1337 what we're doing in ISO, you are measuring this, you put body  
1338 weight and we're using an ISO standard for, which is based on  
1339 height of the person and starting at 1.7 as the standard ISO  
1340 person male and 1.6 as an ISO woman I think and from there you  
1341 can then scale it up and down because the metabolic rate is  
1342 related back to your body, square meter surface area of your  
1343 body, and then you can that way very easily don the different  
1344 liters of oxygen required for doing this workload. So just an  
1345 advice that may be don't using kilos may be using sizes and  
1346 refer it back to ISO standard, it would be much easier as  
1347 times moves on to have the same kind of starting references.

1348           **LES BOORD:** Thank you.

1349           **JAY PARKER:** Jay Parker with the Bullard Company, Les I  
1350 didn't hear a reason why you removed the metabolic simulator  
1351 testing. Wasn't that in the last draft?

1352           **LES BOORD:** Yeah.

1353           **JAY PARKER:** I guess it has been removed?

1354           **LES BOORD:** Yeah, it has. Actually we're relying on the  
1355 human subject testing and the reason that we've decided to go  
1356 that way is when we went through our bench-mark testing, the  
1357 first phase was machine testing. Second test, second phase  
1358 was the human subject testing and what we've found was that  
1359 the low ventilation rates, we really didn't get 100% tracking.

1360 Okay, in other words, human subject testing the values that we  
1361 were obtaining for CO2 and O2 were not identical with the  
1362 types of results we were getting on the simulator. So rather  
1363 than trying to identify a machine requirement, okay, that  
1364 would be equivalent to the human requirement, our decision was  
1365 to use the human subject testing. That's the proof. The  
1366 machine test would be an approximation.

1367         **MICHAEL KAY:** Mike Kay from Ocenco, getting back to the  
1368 ABMS and the human subject testing, at the public meeting  
1369 regarding the SCSR rewrite of 42 CFR, the concept in that was  
1370 to go to ABMS testing to get away from the inherent problems  
1371 with human subject testing. Now the pendulum seems to have  
1372 swung back the other way.

1373         **LES BOORD:** I think that, first of all, I can't speak on  
1374 behalf of the SCSR, but I think that with the bench-mark test-  
1375 ing that we've observed, that there are appropriate tests at  
1376 this time that can be done using the simulator and the tests  
1377 that perhaps aren't quite there yet. We think that there  
1378 would be additional work required to actually tune and to  
1379 develop a protocol that would be appropriate to use a machine  
1380 test for the certification requirement, for this certification  
1381 requirement.

1382         **RICH STEIN:** Rich Stein, QPS, Les, let me see if I under-  
1383 stand correctly. If we make a submittal, is the first thing

1384 you're going to do is run a bench test to prequalify or you're  
1385 just going to jump in to human test on this or how are you  
1386 going to run this?

1387 **LES BOORD:** Yes, actually there is no machine test, no  
1388 bench test relative to CO2/O2 that the criteria will be estab-  
1389 lished using the human subject testing.

1390 **RICH STEIN:** Okay, so you're just going to run into that  
1391 and put it on a human subject on a unit that comes in?

1392 **LES BOORD:** Yes and the test sequence will be identified  
1393 actually in one of the next discussions what the overall test  
1394 sequence is.

1395 Any questions? Okay with that we'll go to the next  
1396 topic.

1397 **FRANK PALYA:** Good morning, my name is Frank Palya from  
1398 NIOSH and I'm going to discuss the test methods and required  
1399 quantity of the escape units that are required to complete the  
1400 NIOSH certification of the CBRN air-purifying escape respira-  
1401 tor. This chart is the summarization of the test categories,  
1402 the quantity of escape units that are required for each of the  
1403 test categories, and the test sequence within each test cate-  
1404 gory. Each column is a test category and it identifies the  
1405 test sequence: the top being the very first test and the  
1406 bottom being the very last test within each of them. As you  
1407 can see, there's the resistance in breathing gas and human

1408 factors and service life. There's no sequence to the ones  
1409 right here, but basically it just starts at the top within  
1410 each column and then goes down.

1411 I want to discuss each one of those columns. First being  
1412 the resistance and breathing gas, from that we'll initially, a  
1413 total quantity of 12 is required and 3 will be used for  
1414 inhalation resistance and 3 will be used for exhalation  
1415 resistance and now 12 will be used for the breathing gas  
1416 concentrations. If you take note, the same respirators will  
1417 be used for all the tests. In other words, there's three  
1418 respirators that were used in the inhalation/exhalation will  
1419 also be used in the breathing concentration. The reason for  
1420 that was we're trying to conserve on the number of respirators  
1421 required from the manufacturers. Again, as Les previously  
1422 discussed, there will be 12 required for the breathing test-  
1423 ing. Each one of these units which will be the human subject  
1424 testing will only be used once by the human subjects for  
1425 personal hygiene reasons.

1426 For the human factors, the total quantity of 3 to 9 is  
1427 required for this series of testing. This is size dependent.  
1428 If there's one size, then three are required. If there are  
1429 three sizes, then nine will be required for this particular  
1430 series of tests. First it'll be the field of view test con-  
1431 ducted. We'll use the STP CBRN 0312 and that is the same

1432 standard test procedure that is used for the gas mask air-  
1433 purifying gas mask.

1434         The next step would be the fogging and 3 to 9 will be  
1435 used in that particular test. This is a new STP 0321. It  
1436 varies from the gas mask fogging test in that you'll enter,  
1437 you'll don the respirator in ambient conditions and then enter  
1438 into a hot environment, a hot environment being 90 degrees  
1439 Fahrenheit at 60% relative humidity and then go through a  
1440 series of visual acuity tests and then another set of respira-  
1441 tors, you'll don in ambient conditions and then you'll enter  
1442 into cold condition of minus 13 degrees Fahrenheit.

1443         And then the final test in this series is the flame and  
1444 heat resistance. No human subjects required for this par-  
1445 ticular one, but it will be in the equipment in accordance  
1446 with 136-1998.

1447         The next series I want to discuss is the gas service  
1448 life. Thirty respirators are required for this particular  
1449 test. Three respirators will be tested against each of the  
1450 gases, each of the ten gases; however, before they'll be  
1451 tested for the gas service life, they'll be subject to the  
1452 hot-temperature storage, the low-temperature storage,  
1453 humidity, transportation vibration testing, and then the drop  
1454 test. These tests are pretty similar to the gas mask CBRN gas  
1455 mask requirement; however, take note at the high-temperature

1456 storage. It'll be at a constant temperature for 5 weeks as  
1457 opposed to the (inaudible) test required under the CBRN gas  
1458 mask standard. After they go through all these series of  
1459 durability testing, then they'll be tested for service life at  
1460 100 liters per minute at 50% relative humidity in the  
1461 challenge.

1462         The next is the service gas life rated at 64 liters per  
1463 minute; 60 respirators are required for this. Again, they'll  
1464 be subjected to the same durability testing. The durability  
1465 testing is the same throughout all these tests categories:  
1466 same hot temperature, low temperature, humidity, transpor-  
1467 tation drop. Six gases, six respirator units will be tested  
1468 for gas. Again there are 10 gases. They'll be 3 at 25%  
1469 relative humidity and 3 at 80% relative humidity for 10 gases  
1470 at 64 liters per minute.

1471         For the permeation and penetration testing, six respira-  
1472 tors are required. However, initially there will be two  
1473 respirators that will not be subjected to the durability  
1474 testing. They are considered pre-qualifiers and they'll be  
1475 subjected to the initial or one will be tested for GB and one  
1476 will be tested for HD. Again, these are pre-qualifiers. Two  
1477 of them will not be subjected to the durability testing. Once  
1478 they pass their pre-qualifications, the four will go through  
1479 the high temperature, low temperature, humidity, transporta-



1480 tion, and drop and then they'll be tested and challenged with  
1481 the two against sarin vapor and two against sulfur mustard HD  
1482 liquid and vapor. This was at the (inaudible) discussed  
1483 previously.

1484 For the filter particulate efficiency, 20 respirators are  
1485 required for this test. Again, they'll be subjected to the  
1486 durability test and the filter efficiency will be tested,  
1487 challenged, tested in accordance with the outlined in 42 CFR.

1488 And last is the laboratory/respiratory protection level  
1489 testing. A quantity of 30 to 65 tests or respirators are  
1490 required for this particular test. Again, when using human  
1491 subjects, respirator will only be used once for hygiene  
1492 purposes. The donning procedure is still being developed and  
1493 the LRPL test is similar to the STP 0352. This 0352 initially  
1494 was planned to be a generic test to test all of the, to test  
1495 all the classes of respirators: the SCBA, the air-purifying  
1496 respirators, the escapes. However, I think that we're going  
1497 to have to make some modifications to this because of the  
1498 donning procedures and different probes so, but all in all,  
1499 it's very similar to the self-contained breathing apparatus  
1500 standard test procedure that we currently use now to test LRPL  
1501 test. And at this time, just any questions? Okay, thank you.

1502 **UNKNOWN SPEAKER:** I have a question here. On that test  
1503 protocol, may be I'm not up to date, but the test protocol,

1504 the procedures you're doing in the test chambers, you said  
1505 there was going to be the same with only some small changes  
1506 because of the donning. What are we doing in the chamber?  
1507 They do, I suppose to know that. Are we lifting boxes and all  
1508 the other stuff that was done or is it (inaudible) an escape.  
1509 Can you fill me in on that?

1510 **FRANK PALYA:** Well right now when we go ahead through the  
1511 procedures, we're reviewing the procedures, this 0352 is on  
1512 the website, but as we're going to go through and develop the  
1513 test procedures, we're going to have to go ahead and find out  
1514 exactly where the probe the respirators, may be from the oral  
1515 nasal region, may be for the under the hood area, the ocular  
1516 region. So with the test procedures, you're just going to  
1517 have to be some slight tweeks\* to it. I mean to go ahead  
1518 there and follow one test procedure by step-by-step process  
1519 would be very difficult so then we're going to have to break  
1520 away from that again.

1521 **GÖRAN BERNDTSSON:** What are the subjects performing in  
1522 the chamber?

1523 **LES BOORD:** Yeah, Göran, I, questions relative to the  
1524 exercises they perform in the LRPL test. Those will not be  
1525 the full set of exercises that are performed under the gas  
1526 mask, but they will be a subset of those. Okay, so we don't  
1527 see all of those as being the applicable exercises that'll be

1528 performed on this test. We haven't actually identified  
1529 exactly which ones are included and which ones omitted, but  
1530 it'll be from that list of exercises.

1531 **GÖRAN BERNDTSSON:** Because I would assume that very large  
1532 proportion would be to run down stairways and that type of  
1533 thing when you're escaping and that's very very much different  
1534 than what we're normally doing for the other testing.

1535 **PAUL DUNCAN:** Paul Duncan, Scott Health & Safety, ques-  
1536 tion, two questions, for the breathing gas test, do you  
1537 actually have an STP established for that yet or is that one  
1538 of the existing STPs or is a new one going to be coming out  
1539 for that yet?

1540 **JOHN SZALAJDA:** Yeah, the breathing gas is not an exist-  
1541 ing STP at this point because this is the first time that test  
1542 will be used.

1543 **PAUL DUNCAN:** Okay, when do you expect that to be  
1544 available?

1545 **JOHN SZALAJDA:** Actually we're in the timeframe. We'll  
1546 talk about the timeframes and the schedules actually this  
1547 afternoon. We're looking in the August timeframe to have that  
1548 completed.

1549 **PAUL DUNCAN:** Okay. Just getting to a general comment, a  
1550 sort of respectful request, it seemed like in the latter  
1551 stages of developing the APR gas mask standard in the last

1552 draft a lot of design requirements showed up that hadn't  
1553 really been previously discussed. For instance the gasket  
1554 material requirement got much more specific than it was in the  
1555 previous drafts and there's a last minute change in the lens  
1556 abrasion testing. Lens abrasion testing in particular actu-  
1557 ally required manufacturers provide flat samples. So here in  
1558 the last minute the last draft that came out all of a sudden  
1559 the manufacturers had to go about the trouble creating molds  
1560 to mold representative thickness samples of their lens and  
1561 hard copy them and etc. There was a frustration in the fact  
1562 that appeared that that standard that portion of the standard  
1563 actually been developed in conjunction with one or more manu-  
1564 facturers and that information wasn't generally available to  
1565 all the manufacturers. So it appeared actually like an unfair  
1566 advantage to one or more manufacturers that were involved in  
1567 that. I was asking if you could in reviewing just the general  
1568 portion of the standard, where do you anticipate major changes  
1569 in this? This is a good job of reviewing what has changed  
1570 since the last standard since the last draft was issued but  
1571 where do you anticipate the major changes occurring between  
1572 now and the next review period?

1573         **JOHN SZALAJDA:** Okay, that's a good question. First of  
1574 all, I'd like to just backtrack a little bit on the two areas  
1575 that you mentioned. In both of those, the abrasion, the

1576 development of the abrasion concept as well as the development  
1577 of the specifications for the gasket were both the result of  
1578 comments that were generated at the last public meeting that  
1579 we had for the air-purifying gas mask as well as comments that  
1580 were submitted to the docket. So both of those were revisions  
1581 to those requirements that were actually implemented to  
1582 address comments that were submitted, raised at the meetings,  
1583 and submitted to the docket. So the answer to the second part  
1584 of your question, what we envision perhaps the impact of the  
1585 changes as we go forward that is somewhat dependent on the  
1586 kind of comments and the interactions that we get through  
1587 these types of discussions and submittals that are made to the  
1588 docket.

1589         **PAUL DUNCAN:** My observation wasn't . . . I could be  
1590 entirely wrong, but that last version of the material stan-  
1591 dards almost looked like a manufacturer's, a particular  
1592 manufacturer's material spec. I pulled out our engineering  
1593 drawing and plunked down the standard and all of a sudden so  
1594 one manufacturer had it and everybody's going to have to meet  
1595 it.

1596         **JOHN SZALAJDA:** Actually the requirement came from the  
1597 military specifications for the gasket material that's used in  
1598 the military masks and that was done. I don't want to go down  
1599 this far, this path too far because it's related to the gas

1600 mask, but that was done because in the earlier editions of the  
1601 concept paper and actually into the last public meeting, the  
1602 design requirements for the gasket were very specific. They  
1603 were specific in that it said it needed to be EPDM. The  
1604 comments that were generated at that public meeting and in the  
1605 docket was that there are other materials that can pass the  
1606 agent requirements that by specifying EPDM we are being too  
1607 design restrictive and what we should do in lieu of that is  
1608 identify what the performance requirements that we needed to  
1609 achieve as well as the physical properties of the material and  
1610 that's basically what we did and to get to those requirements  
1611 we looked to the military specifications for the M40 gas mask.  
1612 So and then to try to anticipate the changes as we go forward,  
1613 I think . . . The only thing I could say in a definite  
1614 response okay at this time is that as we are going through  
1615 these discussions you see that there are things that we've  
1616 identified that we need to concentrate on. One is the comment  
1617 that Göran just mentioned relative to the exercises that will  
1618 be performed during LRPL. We pretty much know what the  
1619 parameters and we looked at the parameters and how to do that  
1620 and so forth, but we haven't focused on what the specific  
1621 requirements will be. So that would be an area I would look  
1622 to. Also in the area of the . . . as we get into this after-  
1623 noon's discussion, you'll see some of the discussions and

1624 perhaps some open areas relative to the way that live agent  
1625 testing is performed. Okay, so those may be types of areas to  
1626 look at. So I think from listening to the meeting, you can  
1627 sort of glean where we think we need to do addition work and  
1628 we will do that work, but then we're always and we remain  
1629 responsive to input that we get.

1630         **GÖRAN BERNDTSSON:** Göran Berndtsson from SEA again, one  
1631 thing you could have done better on the last one was that  
1632 actually you had a draft and then it went nearly 6 months and  
1633 then it was finished and there was no communication via your  
1634 website for 6 months and that I think how all of us who tries  
1635 to be ready to go and you can do that better in the future.  
1636 Make sure that you continue with what you started so well.  
1637 Update every once a month and we will all be ready when you  
1638 guys are ready.

1639         **JOHN SZALAJDA:** Yes, thank you and that is a good com-  
1640 ment. We are sensitive to that, but unfortunately some of the  
1641 situations relative to timing and issuing or let's say posting  
1642 the requirements and the concepts are not . . . there are  
1643 hurdles that we need to go through and it's really tough to  
1644 predict what those hurdles, what their timelines will be.

1645         **PAUL DUNCAN:** Echoing Göran's comments, along the lines  
1646 of . . . if something as simple like a monthly update, you  
1647 know those have to . . . totally revised copy of the draft

1648 which is like a monthly update saying hey we here at NIOSH in  
1649 developing these standards we're looking at these areas. So  
1650 at least it gives a flag to the manufacturers like okay hey  
1651 something that I might be doing in my development work. I may  
1652 need to rethink this or may change my priorities a little bit  
1653 and be prepared for a change that may be coming out.

1654 **JOHN SZALAJDA:** Good point.

1655 **PAUL DUNCAN:** Because it was quite a long time.

1656 **JOHN SZALAJDA:** That is a good point, thank you.

1657 **UNKNOWN SPEAKER:** And to wrap up this morning's for the  
1658 air-purifying part of the standard, we wanted to at least ini-  
1659 tially identify some of the costs that we envision that are  
1660 going to be associated with the application of material for  
1661 our evaluation. Basically and if you're familiar with the  
1662 CBRN program, you know that we work with our partner and our  
1663 NIOSH test agent at SBCCOM to do the chemical warfare testing  
1664 and the LRPL associated testing. That will be no different  
1665 for this system. We are currently in process at the NPPTL  
1666 facility in Bruceton of establishing our own internal capa-  
1667 bilities for conducting the environmental conditioning for the  
1668 respirator systems. We hope to have that in place by this  
1669 fall. What we're doing is again we're working closely with  
1670 SBCCOM to replicate the systems that they have established at  
1671 the Edgewood facility for conducting these tests. And again,



1672 as Frank had mentioned in discussion at the tester base,  
1673 primarily on the Mil standard, the Mil-STD-810 and you know  
1674 we're working closely with them to ensure that we get repli-  
1675 cable results for the challenging of the respirators. Again,  
1676 it's a long test cycle, you know, and unfortunately with given  
1677 the types of tests that are available for us to do the  
1678 testers, we don't see anyway to circumvent that portion of the  
1679 process that we are looking at around 70-75 days to conduct  
1680 the testing. And I think everybody can read the number at the  
1681 bottom.

1682         How that breaks down, you know again we're looking at the  
1683 testing but excuse me, button sensitive there, we're looking  
1684 at doing the testing at the two sites. We have the penetra-  
1685 tion permeation testing which is done by SBCCOM. Again we are  
1686 considering as part of the application process to do the  
1687 qualification testing first with two systems to ensure that  
1688 they pass the chemical warfare agent testing, the penetration-  
1689 permeation test prior to going to the expense of conducting  
1690 the environmental challenging the systems. Again, we would  
1691 end up ultimately testing six systems: the two qualification  
1692 units and then the four units following environmental  
1693 conditioning.

1694         With the LRPL, the numbers are off the actually we're  
1695 looking at 30 to 65 escape respirators which will be dependent

1696 on the design, the individual design from the applicant as  
1697 well as the (inaudible) if the manufacturer comes in with one  
1698 size or multitude of size that will determine the actual  
1699 number of items that are required for the LRPL. Again, we're  
1700 looking at these tests will be done by SBCCOM using their  
1701 facilities and their test subjects.

1702 As far as the particulate testing, we intend on doing  
1703 that at the facility in Pittsburgh. Frank had mentioned the  
1704 breakdown and the test that will be conducted as part of that  
1705 application. A couple of things that I wanted to bring to  
1706 your attention, things that may go away between now and by the  
1707 time the standards are released. We had considered doing a  
1708 particulate test following cyclohexane challenge. This was  
1709 something that we had looked at as part of the development of  
1710 the gas mask standard to ensure that we weren't getting  
1711 particulate penetration following exposure to organic vapors  
1712 and this was a consideration for the gas mask because of  
1713 concerns that had been raised over intermittent exposures of  
1714 the filter to contaminants. In looking at the escape respira-  
1715 tor as a one-time only use, there may not be a need to conduct  
1716 that test and we're in the process of evaluating the necessity  
1717 for that. Everything else I think is fairly straightforward  
1718 in terms of the sequence of doing the environmental condition-  
1719 ing and then breaking out to either doing particulate testing

1720 of the service life testing or the bench testing for the human  
1721 factors types of evaluation. We don't have a similar chart  
1722 for the afternoon session. We go and discuss the self-  
1723 contained units but I think you can pretty well identify the  
1724 things that would be included in this part of the self-  
1725 contained that we would be looking at . . . there wouldn't be  
1726 a need for doing the gas testing as well as the particulate  
1727 testing and that's about a \$9,000 savings. So with that I  
1728 think we're pretty much on scheduled. I wanted to at least  
1729 open up the floor for any comments from the attendees related  
1730 to the air-purifying respirator.

1731 **WILLIAM NEWCOME:** Bill Newcomb, North Safety Products, is  
1732 it the intention of NIOSH that this is a single-use escape  
1733 device?

1734 **UNKNOWN SPEAKER:** Yes.

1735 **WILLIAM NEWCOME:** Then okay, I don't think it's specific  
1736 in there any place and there is a requirement for maintenance  
1737 in the proposed draft so I'm kind of confused as to whether  
1738 this was the intention or is the intention?

1739 **UNKNOWN SPEAKER:** What I think part of what we're working  
1740 and I guess this goes back to the gentlemen from Scott's  
1741 comment as far as refinements to the standards. Part of what  
1742 we've seen and getting comments back is a need for training  
1743 and maintenance care and use of these systems and by main-

1744 tenance, I guess as part of what we're doing in a concept  
1745 paper is we're going, and when we identify in terms of main-  
1746 tenance requirements we're going to define that characteristic  
1747 in the concept paper, but primarily we're looking at in terms  
1748 of maintenance is the long-term care of these systems.  
1749 Whether or not when a user which were to be, purchase one of  
1750 these systems, put it in a drawer, put it in a filing cabinet,  
1751 put it in a central location. Which should they do long term  
1752 with these systems? Should they inspect these at some sort of  
1753 relative frequency? After 6 months, should they perhaps con-  
1754 sult the manufacturer and go back and have the items evaluated  
1755 to make sure that they are maintaining the . . . meeting the  
1756 requirements? These are parameters that we're still trying to  
1757 come to terms with, but I think in terms of what you'll see in  
1758 the concept papers that will define what we mean by  
1759 maintenance.

1760           **UNKNOWN SPEAKER:** Excuse me, relating to the actual test  
1761 method that we're working toward, if you had . . . How flexi-  
1762 ble is this and how . . . For example, if you have 10 escape  
1763 respirators that qualify to be tested and 8 of them are  
1764 canisters, okay and one of them or two of them are fabric,  
1765 completely different structure and makeup and everything else,  
1766 is it up to the manufacturer to submit what a protocol for  
1767 what they would think fair and accurate, a fair and accurate

1768 test of this filter material would be since it's so different  
1769 than the other ones. How does that work?

1770 **UNKNOWN SPEAKER:** If I understand the question correctly,  
1771 I think you're talking about different respirators that may  
1772 utilize common components and how those would actually be  
1773 evaluated through a testing program?

1774 **UNKNOWN SPEAKER:** Through different, yes, if there's one  
1775 set that has a canister and the other set that has fabric or  
1776 something different and the whole construction is quite dif-  
1777 ferent that you want, but the filter material, I mean, you get  
1778 past the leak test and everything else.

1779 **UNKNOWN SPEAKER:** I think the answer to the question in a  
1780 general sense is probably the best we can do at this time is  
1781 that we do have guidelines that we use in both the CBRN pro-  
1782 grams that are already in place for the SCBA as well as the  
1783 gas mask to determine how and what materials need to be tested  
1784 and those guidelines come down to identifying materials that  
1785 form a pressure boundary or materials of contact can likely  
1786 contact the agent as well as materials that actually are used  
1787 to provide the protection if it's a filter. So there are  
1788 guidelines that we follow for the current programs and I would  
1789 anticipate that there would be similar guidelines applicable  
1790 for the escape.

1791           **GÖRAN BERNDTSSON:** Göran Berndtsson from SEA, in regards  
1792 to I think it was Nort's (phonetically) question here. When a  
1793 respirator runs out of shelf life, could it be sent back to  
1794 the manufacturer for re-fitted, restored and sent out again.  
1795 It's never been used. The agent just run out of shelf life.

1796           **UNKNOWN SPEAKER:** I think that those types of issues, the  
1797 issues and what I think John was alluding to is a lot of those  
1798 maintenance issues are, when we talk about maintenance, we're  
1799 looking at what the manufacturer would recommend needs to be  
1800 done to that unit as it's sitting in the desk drawer or  
1801 hanging on the wall or being carried around in the car. If  
1802 there are procedures unit specific that a manufacturer  
1803 develops for doing measures such as you mentioned, then I  
1804 think those need to be technically rationalized and justified  
1805 through the certification program through the certification  
1806 process. So I don't see that it's necessarily prohibited but  
1807 I think there needs to be a technical rationale behind it.

1808           **GÖRAN BERNDTSSON:** Another question, is it going to be a  
1809 maximum shelf life allowed from other approval process? In  
1810 other words, if the manufacturer claims 10 years, is that  
1811 going to be some kind of testing to validate that or is  
1812 it . . . .

1813           **UNKNOWN SPEAKER:** The testing that we envisioned is  
1814 basically the environmental conditioning that we expose the

1815 unit to. The actual recommended shelf life is I think a manu-  
1816 facturer specific or driven type of a specification. Other-  
1817 wise we would need to specify the packaging.

1818 **GÖRAN BERNDTISSON:** Isn't that a little bit loose? I mean  
1819 that a manufacturer come in and say that I recommend 20 years.  
1820 How do we know that the field tests are going to last after  
1821 20 years?

1822 **UNKNOWN SPEAKER:** That's a good point. We are open for  
1823 any original thinking there.

1824 **KAREN NELSON:** Would not the test the filter materials,  
1825 are they not themselves be of fabric or (inaudible) or  
1826 whatever's inside the filter? Is that not subject to tests  
1827 that can determine if it loses integrity after a period of  
1828 time? I mean, just the materials themselves, would that,  
1829 isn't that . . .

1830 **UNKNOWN SPEAKER:** First of all address your name into the  
1831 microphone.

1832 **KAREN NELSON:** I'm forgetting, Karen Nelson, Safety  
1833 Matters.

1834 **UNKNOWN SPEAKER:** Okay, then to answer your question. I  
1835 think that that, again, that perhaps becomes design specific.  
1836 Okay, and the way the various materials that are used, the  
1837 materials of construction are used and how those are packaged,

1838 contained, or sealed from the environment, I think is a design  
1839 specific type of a situation.

1840 **KAREN NELSON:** Right, but my, as far as the question,  
1841 would it not, if you're looking at this, at these materials as  
1842 you test or as far as the construction of the item, is it not,  
1843 I mean aren't there engineers who can tell you that like  
1844 certain grades of rubber will loose integrity after and become  
1845 brittle after so many years, so you couldn't claim a 20-year  
1846 shelf life on that and just extrapolate that to the other  
1847 materials.

1848 **UNKNOWN SPEAKER:** To answer your question, I think there  
1849 are engineering guidelines and so forth for the design process  
1850 to do that type of work.

1851 **RICHARD METZLER:** Rich Metzler from NIOSH, we have an  
1852 experience with self-contained self-rescuers in the mining  
1853 industry where there are substantial reliability problems with  
1854 regard to the age of the unit and the use underground and the  
1855 ability to inspect and know when to remove the products from  
1856 service. While we invite your comments on the shelf-life  
1857 issue, I can tell you if it comes down to a policy matter,  
1858 there will be a limited shelf life of a short duration that's  
1859 reasonable from an engineering perspective, but that age would  
1860 be at the lower end not the 20-year end that I hear everyone  
1861 talking about.



1862           **GÖRAN BERNDTSSON:** Göran Berndtsson from SEA again, this  
1863 is really important. We really need to settle some kind of  
1864 guidelines or some policies here because the difference  
1865 between (inaudible) to the end user is going to be very much  
1866 dependent on the shelf life and the price charged for this.  
1867 So we can't leave this. We have to make sure that this  
1868 doesn't get left open and no open ended (inaudible). We need  
1869 to have a discussion or a dialogue to solve this unsolved  
1870 question.

1871           **UNKNOWN SPEAKER:** Thank you. Just one other thing, I  
1872 just want to remind everybody as far as we'll have individuals  
1873 available to discuss the charts if you like to review the  
1874 information that we've accumulated related to the breathing  
1875 gas and the bench mark testing that we will have personnel  
1876 available during lunch and the breaks to talk about that. I  
1877 think at this point we'll go ahead and take our hour for lunch  
1878 and I don't know exactly what time it is, but I'm guessing  
1879 that it's 12 o'clock so if you can be back at 1, we'll resume  
1880 with the self-contained portion.

1881           **UNKNOWN SPEAKER:** Okay as far as what we're going to  
1882 cover this afternoon, we're going to spend about an hour  
1883 addressing the self-contained escape requirements. We'll have  
1884 an open period for comments to close out the discussion on the  
1885 escape respirators immediately following the self-contained

1886 discussion of the escape respirator requirements and then  
1887 we'll conclude with the QA module and wrap up at the end of  
1888 the day.

1889 I think to reset the stage you know this morning we  
1890 talked about part one of the standard. We addressed what  
1891 we're conceptualizing for the air-purifying escape respirator  
1892 and part way through the project of doing the air-purifying  
1893 respirator, we had thought originally, the original plan that  
1894 we had in terms of the sequence for developing the respirator  
1895 standards we had considered doing the escape, the self-  
1896 contained escape standard later in the cycle but you know we  
1897 felt there was enough commonality between the requirements  
1898 that it made sense from a programmatic stand point to go ahead  
1899 and address the self-contained aspect at this time and so part  
1900 two of the concept paper was born. And I think in looking at  
1901 any time with the self-contained unit. You know we like to  
1902 take advantage of the lessons learned and the modeling and the  
1903 other work that we've done in developing the concept. Then in  
1904 looking at, there's a lot of similarity between the self-  
1905 contained families of respirators and to that end, we went  
1906 back and looked at the SCBA, the self-contained breathing  
1907 apparatus, standard. The first CBRN requirement that we  
1908 developed and in that we had three tiers of requirements and  
1909 it make sense for the self-contained escape respirator to use

1910 the same type of model and in that we're looking at compliance  
1911 with the requirements of 42 CFR enhanced performance require-  
1912 ments that we feel are necessary to harden the unit for this  
1913 type of application as well as unique CBRN APR requirements.

1914         Again as I said, the first tier is the compliance with  
1915 the requirements that have been delineated in the 42 CFR,  
1916 Part 84 that have been established for a few years at least  
1917 for the community that's familiar with these types of pieces  
1918 of equipment that these requirements are the same. The second  
1919 are things that we felt needed to be considered for the  
1920 potential user population for people who may not have the  
1921 familiarity of respirator usage. When you look at a self-  
1922 contained self-rescuer type devices, there's certain parts of  
1923 respiratory protection program that the mining industry takes  
1924 into account for how the equipment is used. The worker, your  
1925 conventional worker, may not have that same opportunity so we  
1926 identified these requirements as considerations for the second  
1927 tier of the escape respirator and we'll get into that a little  
1928 bit over the next few minutes. The third tier is the require-  
1929 ments for the CBRN in particular the chemical warfare agent  
1930 testing and the LRPL and we're going to discuss those in some  
1931 detail. And with that, we're going to cover first is the  
1932 chemical warfare agent requirements and Les Boord,  
1933 Mike Bergman, and Ray Lins from SBCCOM will be leading that

1934 discussion. Actually Les is so good he doesn't need the  
1935 charts.

1936           **LES BOORD:** To start the discussion on the agent testing  
1937 for the self-contained escape respirators, I'd like to just  
1938 back track a little bit to refresh what we had presented and  
1939 what we discussed in the April meeting. And basically, at  
1940 that point in time, we, in the April meeting, talked about  
1941 bench-mark testing for escape respirators and the self-  
1942 contained units in the form of testing that we did on hoods.  
1943 And basically when we look at the agent test requirements,  
1944 we're looking at a self-contained unit so we're talking about  
1945 high protections which really throws us into the levels of  
1946 testing and challenge that we've identified for an SCBA which  
1947 means sarin. We're looking at 2,000 milligrams per cubic  
1948 meter and mustard, 300 milligrams per cubic meter. In the  
1949 April meeting, we reported the results of bench-mark testing  
1950 using hoods at those exposure levels and basically the result  
1951 of that was that we were able to come to the conclusion that  
1952 hood technology even at those levels of agent exposure was I  
1953 think in line with the requirements so we didn't envision that  
1954 would be a problem. So that bench-mark testing proved the  
1955 hood capacity or capability. Since that time, what we've been  
1956 doing is taking it a step further and we wanted to look at  
1957 the, two things primarily. The first one is the challenge

1958 concentration: the 2,000 and the 300 and basically profiles  
1959 for actually administering that type of a test on an escape  
1960 respirator. So some of the discussion that Mike gets into is  
1961 going to discuss different profiles for doing that test, but  
1962 then the second thing is that we actually wanted to gain some  
1963 experience and we'll share that with you relative to bench-  
1964 mark testing existing escape units, self-contained escape  
1965 units against the hazard levels that we've identified and the  
1966 profiles that Mike's going to talk about in his discussion.  
1967 And so with that, what I'd like to do is have Mike Bergman  
1968 talk about the agent, the live-agent testing profiles again  
1969 associated with the smart man testing and then following Mike,  
1970 Ray Lins will share with us some experiences of the bench-mark  
1971 testing on self-contained units. And before I go on any  
1972 further, I would like to point out which I fell to do earlier  
1973 is that we do have a smart man test set up at the back of the  
1974 room which I think probably everybody has seen already but  
1975 that is back there for your observation and questions to the  
1976 technicians available to demonstrate that.

1977           **MIKE BERGMAN:** The concentration challenges for sarin and  
1978 mustard have stayed the same. They are the same as the  
1979 SCBA/CBRN standard. For sarin gas, the paper challenge  
1980 concentration is 2,000 milligrams per cubic meter and that's  
1981 going to be an important number. That concentration is going

1982 to tell us something about the time that we need to expose the  
1983 unit in the chamber and I have a graph on that that I'll show  
1984 you. If it's a 15-minute or longer rated unit, 15 minutes  
1985 will be the time that the agent is generated for the exposure.  
1986 The total test time will be twice the rated service time of  
1987 the unit. For mustard gas, the challenge concentration is  
1988 300 milligrams per cubic meter. Again if it's a 15-minute or  
1989 longer rated unit, it'll be exposed with a generated agent for  
1990 15 minutes and then it will remain in the chamber for a total  
1991 time of twice the service time. The profiles come out of the  
1992 fact that for GB it's not possible in 15 minutes to have a  
1993 10,000 CT and that will show that we need to vary the  
1994 concentration for that. For HD it is possible within that  
1995 15 minutes to have a 4,500 CT and that will be a constant  
1996 exposure at 300 milligrams per cubic meter. For GB, this is  
1997 stage one of the agent. This is, the time is at the bottom of  
1998 15 minutes and what we are doing here, the goal is to achieve  
1999 10,000 CT as a total exposure. We are increasing the concen-  
2000 tration up to 2,000 which is the maximum and then a decrease  
2001 of the concentration. And then here, this is the total sur-  
2002 face of, excuse me, the total testing time for a 60-minute  
2003 rated unit. That is the first 15 minutes, the agent is gen-  
2004 erated and then stage two there's no agent that is generated.  
2005 For HD, we have a constant exposure at 300 milligrams per

2006 cubic meter for the first 15 minutes and then it will remain  
2007 in the chamber for a total time of twice the rated surface  
2008 time of the unit stage two. And now I'd like to take any  
2009 questions about that and then we're going to have Ray Lins  
2010 come up for further comment.

2011 **GÖRAN BERNDTSSON:** Göran Berndtsson from SEA, what's the  
2012 logic of leaving it in the chamber twice the duration time  
2013 than it is in SCBA? I mean it is when you're out of air,  
2014 you're out of air so?

2015 **LES BOORD:** Yeah, but I think we all know that the  
2016 service time on a self-contained unit is a function of the use  
2017 rate.

2018 **GÖRAN BERNDTSSON:** That's true.

2019 **LES BOORD:** So even under 42 CFR, we have testing that  
2020 establishes the rate of duration, 15, 30, whatever it is, but  
2021 we also have testing, sedentary testing that's performed on  
2022 the unit that goes well beyond the rate of duration of the  
2023 apparatus. So the idea is to see what those affects are  
2024 beyond the rate of duration.

2025 **GÖRAN BERNDTSSON:** Yes I can understand that, but when it  
2026 comes to escape, it is very likely that the duration would be  
2027 shorter than the rate because you're probably use much more  
2028 than your testing.

2029           **LES BOORD:** I think that depends on the escape mode, the  
2030 mechanism for escape because I think one escape strategy is  
2031 certainly as you mentioned. Put on the escape respirator and  
2032 go as quickly as you can to a identified area, a fresh air  
2033 area, but it also may be to put it on and go to another area  
2034 and perhaps wait. So there are different escape strategies  
2035 and scenarios that I think need, that are realistic and need  
2036 to be addressed as well.

2037           **RAYMOND LINS:** I'm Ray Lins from Aberdeen Proving Ground,  
2038 Protective Equipment Team. We are accredited by ISO 17025 by  
2039 A2LA and we're a certified testing laboratory for NIOSH for  
2040 CBRN. It's kind of a timeline and you saw it this morning.  
2041 In May we started on the (inaudible) testing of escape respi-  
2042 rators. Recently we started testing the self-contained escape  
2043 respirators to develop the standard test procedure and the  
2044 goal is to start certification in October.

2045           In addition to the smart man testing that we do, we have  
2046 swatch testing which we do and you just saw the swatch cup  
2047 sitting in the back. It's important to look at the materials  
2048 before you ever build the hoods. Escape respirators and know  
2049 if they're going to last or not so we do have the system to do  
2050 that. Three sets of six swatch systems, we use mini-cams for  
2051 the agent detection. (inaudible) cups we use. That's a  
2052 larger swatch for a semi-permeable material. A cheaper test,



2053 fruit fly test on swatches, we do those and then we can put a  
2054 hundred of those in at a time so it's pretty inexpensive that  
2055 way. And we're also a certified testing for NFPA swatch  
2056 testing and we were certified by A2LA for that.

2057 I have a couple of charts to show you on some testing  
2058 that we did. The first one was a hooded unit which used  
2059 lithium hydroxide as an passive scrubber. That was mounted on  
2060 a smart man head form. We only take one sample. One time we  
2061 talked about different samples inside the hood and inside the  
2062 breathing area. Since this just had a no nose cup or any-  
2063 thing, we just took the samples inside the hood.

2064 This is an off-the-shelf item. Very short duration unit  
2065 and worked out fairly well. Another test of the identical  
2066 unit, both of these tests were with GB. HD, this kind of  
2067 shows it. It probably needs some work on materials but it did  
2068 perform for the first few minutes. Just a duplicate of the  
2069 second test of the same thing. Another unit we did a self-  
2070 contained compressed oxygen breathing apparatus contained  
2071 lithium hydroxide. This one was a little bit different. It  
2072 didn't use passive. You actually breathed through the lithium  
2073 hydroxide and we had to modify the smart man tests setup for  
2074 this. This actually used a mouth bit so we didn't have a  
2075 smart man head form to put it on. This one did much better,  
2076 test duration 1 hour and that was the HD. This was also an

2077 off-the-shelf item. After doing leak test on it, we had to  
2078 kind of modify the hoses and seal them a little bit to make  
2079 them leak proof. They did leak on a TDA 99 test before we  
2080 ever put it on. So there was no sense testing it without  
2081 fixing it first but after we fixed some of the leaks, it  
2082 performed fairly well. As you saw the concentration profile  
2083 earlier, that's a typical. That is the concentration profile  
2084 running this unit up to 2,000. Held it for a couple of  
2085 minutes then dropped down. The concentration profile that you  
2086 saw in the HD would also have a ramp up on the front. It  
2087 doesn't start off at 300. So it would have a ramp up very  
2088 similar to this compared to what the other one did. Presently  
2089 we have five smart man agent test systems. One smart man CK  
2090 system, one medium leak test system, two small leak test  
2091 systems, one of which you see in the back. In July we'll have  
2092 a small head form set up for agent test. September we'll have  
2093 two additional smart man agent test systems setup mediums and  
2094 then to accommodate the self-contained tests that we're doing,  
2095 we'll have two additional units set up with automated breath-  
2096 ing simulator like the smart man. Questions? Pretty  
2097 straightforward.

2098         **UNKNOWN SPEAKER:** Okay the next two requirements we want  
2099 to talk about is the breathing gas control and the LRPL and  
2100 these are basically a repeat of what we've discussed this

2101 morning. The requirements are the same and the evaluation  
2102 methods will be the same as we discussed. So the CO2 is a  
2103 maximum average inhaled concentration 2 1/2%. Again, it comes  
2104 from 42 CFR, Part 84 and the paragraphs there are actually  
2105 referenced here: 101 and 97. And then the oxygen minimum  
2106 inhaled oxygen concentration is 19½%, paragraph 84-79. The  
2107 establishment of compliance with a requirement will be through  
2108 human subject testing. Again, two test subjects greater than  
2109 80, less than 60 and the work rate's standing 2½ and 3½ miles  
2110 per hour and conducted for the duration of the, the rate of  
2111 duration of the respirator.

2112         And again, the LRPL is the same, same performance  
2113 requirement that we identified this morning. Okay, so we have  
2114 the purpose to establish a bench-mark level of protection  
2115 under laboratory conditions and the 20 to 40 milligrams cubic  
2116 meter of corn oil .4 to .6 micrometer mass media aerodynamic  
2117 diameter. Again, factoring in the same panel, neck circum-  
2118 ference, head circumference, face length and width, two areas  
2119 of LRPL values, breathing zone and then secondly under the  
2120 hood and so it's the same repeat of the APR requirement that  
2121 we discussed this morning using the same panel with the  
2122 F metric dimensions and the same application of the panel for  
2123 small, medium, and large. Any questions?

2124           **RICH STEIN:** Rich Stein, QPS, on that breathing zone  
2125 protection factor of 2,000 for the high again it doesn't quite  
2126 fit in with the other categories which are low or specific  
2127 which would also have an appropriate breathing zone LRPL  
2128 probably lower which would make sense or raise this and then  
2129 you won't have any units that pass?

2130           **UNKNOWN SPEAKER:** Thank you.

2131           **UNKNOWN SPEAKER:** Yeah, I want to discuss the test  
2132 sequence and required quantity. This presentation's pretty  
2133 much goes the same way as I did for the air-purifying respira-  
2134 tors. Again, I have the charts set up where you have the  
2135 various test categories: the breathing gas, human factors,  
2136 penetration/permeation, LRPL. Again, the quantity is on the  
2137 top here, required quantity for each of the test categories.  
2138 The testing is at the very first test. It starts at the top  
2139 and then it works its way down through. First I'm going to  
2140 discuss the breathing gas. This is just a pretty basic here  
2141 where 12 units are required for the breathing gas and the  
2142 human subject testing methods will be used to test for the  
2143 breathing gas. Again, the respirator will only be used once  
2144 for personal hygiene reasons. The human factors, a total  
2145 quantity of 5, between 5 and 11, again, it's size dependent.  
2146 A 1 size, only 5 will be required. If it's 3 sizes, then 11  
2147 will be required. The first test will be the field of view,

2148 then fogging resistance, and then flammability. Again, we'll  
2149 try to use the same respirators for duplicate tests, multiple  
2150 tests. The test method, again, field of view is 0312 and for  
2151 the fogging resistance is the same as the air-purifying escape  
2152 respirator. The permeation penetration testing, again, total  
2153 quantity of six is required and same, we're going to have two  
2154 respirators require two respirators for the prerequisite test  
2155 which will not go through the durability testing of high  
2156 temperature, low temperature, humidity, transportation, and  
2157 drop. They'll be tested in the as-received condition for GB  
2158 and HD. So, again, they'll be pre-qualifiers. If they pre-  
2159 qualify, then they'll go through the durability testing and  
2160 the test methods are indicated as such. And last is the LRPL  
2161 testing. From Mike Bergman's presentation and we require  
2162 quantity of between 30 and 65 respirators. Again, it's going  
2163 to be size dependent. The donning procedures are still being  
2164 finalized and then the LRPL STP will be used. Again, it's  
2165 going to be similar to this 0352. Questions?

2166 **JAY PARKER:** Jay Parker with Bullard, how will duration  
2167 be tested? I don't see any test for the duration of the unit.

2168 **UNKNOWN SPEAKER:** Go ahead.

2169 **LES BOORD:** The first tier of the requirements that it be  
2170 42 CFR approved so duration is established under 42 CFR.

2171           **RICH STEIN:** Rich Stein, QPS, I think there was a sug-  
2172           gestion at the last meeting related to the vibration testing  
2173           wherein you considered separating units and have categories A  
2174           and B. Have you considered that?

2175           **LES BOORD:** Yes, actually we did and I think the presen-  
2176           tation that follows is going to go through and enumerate some  
2177           of those types of comments, but on that one specifically, we  
2178           did look at it and we actually bracketed what we thought  
2179           those, I think we called them levels, level A, level B and we  
2180           sort of theorized what they would be and bracket them, but it  
2181           appeared to us that it was really well two things, making it a  
2182           complex and complicated type of a requirement, okay, and then  
2183           secondly, the opportunity for not following whether it's a  
2184           level A or level B in the field in actual use I think  
2185           was . . . There was no way to really see that you would  
2186           adhere to it. In other words, if you had a unit that was  
2187           designed to just be stored in a drawer, what's the guarantee  
2188           that it's not going to appear out on a rail vehicle somewhere  
2189           or a car somewhere being carried. So basically we just came  
2190           to the conclusion that we decided not to go down that road,  
2191           but it was considered.

2192           **UNKNOWN SPEAKER:** In response to your feedback, this is  
2193           something new and I think as Rich Metzler had said this  
2194           morning, you know, NIOSH in taking our role in trying to

2195 protect worker and safety and health, we realize the fact that  
2196 as Rich has stated, that we need to do things in partnership  
2197 not only you know in partnership with other Federal agencies  
2198 but you know partnerships with manufacturers, partnerships  
2199 with the stakeholders, partnerships with people that have a  
2200 vested interest in the development of these standards for the  
2201 protection of the worker and I guess this trial at least we're  
2202 showing this at least as fair as the work that we've done with  
2203 the escape respirator. You know, we've had an open docket to  
2204 collect comments that individuals have made that felt that  
2205 they had a contribution of some meaningful data, meaningful  
2206 opinions to provide for us to formally consider as part of the  
2207 development of the standard. And I want to make sure and  
2208 reinforce the fact that you know with the docket has been open  
2209 a lot longer than just since October. NIOSH has actually been  
2210 collecting comments on CBRN since you know 2000 prior to my  
2211 employment with NIOSH but at least I know for the last several  
2212 public meetings in discussing this forum that we have invited  
2213 the comments from the stakeholders to the docket and we  
2214 welcome those comments and what we wanted to do is spend a few  
2215 minutes to kind of describe for you what we do with the  
2216 information. We certainly value the opinions and the data  
2217 that comes forward through this mechanism. We also value the  
2218 opinions that you know are voiced here in these meetings or in

2219 one-on-one meetings that manufacturers or other parties may  
2220 request of being involved with us in developing the standard  
2221 and I want to encourage you know all of you as a stakeholders  
2222 in this process that if you feel you have a contribution,  
2223 position, data, information that would be of value for us to  
2224 consider in the development of these guidelines prior to us  
2225 moving too much farther along the path, I would encourage you  
2226 to make that submittal. What we try to do and what we've done  
2227 with the information that has been received is to generally  
2228 categorize the comments either in what's listed up here is how  
2229 we've done it with the escape respirator. And we've done this  
2230 all along with the SCBA with the gas mask. As the information  
2231 has come into the docket, we receive the information, we ana-  
2232 lyze it, and try to make the determinations where it's appli-  
2233 cable, where it may not be applicable, or things that we may  
2234 require additional research to investigate. What we try to do  
2235 as part of our internal processes are to address requirements  
2236 or address information that comes in in a narrative fashion  
2237 that we might not specifically address a certain topic but if,  
2238 we will look at the topic of the metabolic simulator in total  
2239 and look at the types of, type of information that's being  
2240 submitted for consideration and provide a narrative to address  
2241 those concerns. And going through a little detail as far as  
2242 some of things that we've collected on the escape respirator



2243 and I think you've heard in the discussion this morning a lot  
2244 of these topics have been addressed in terms of our current  
2245 conceptual thinking right now in terms of the need for the  
2246 ABMS as part of the requirement. I think based on some of the  
2247 information that we've seen and analyzed with our different  
2248 research that we're not considering that part of the require-  
2249 ment for the escape respirator.

2250 Fees obviously is a big topic and whether or not we can  
2251 see any economies in reducing the number of test items that we  
2252 subject through the certification process and that is one  
2253 thing that we still have under consideration whether or not  
2254 there is some flexibility of changing the number of items that  
2255 we test. With this type of device, we've seen different con-  
2256 cerns regarding beards and glasses and one particular comment  
2257 was the need for having a good face seal or a good potential  
2258 seal with the respirator whether it'd be with the nose cup or  
2259 other concerns have been with use a full facepiece type system  
2260 that you would need a seal around the face and how that would  
2261 impact potentially wearing beards or the use of glasses and we  
2262 see that concern really being addressed as part of the cau-  
2263 tions and limitations aspect of the program. Then considera-  
2264 tion for whether or not you would want a hooded device or some  
2265 other type of system. This would really need to be addressed  
2266 as part of the user's needs analysis for why they would need

2267 to have an escape respirator and how they best wanted to serve  
2268 the population whether that they wanted to provide you know a  
2269 hooded type system to accommodate certain things or if they  
2270 would prefer to go another track with the device that they  
2271 would select as part of their analysis.

2272 Breathing gas control, again, I think we've heard a lot  
2273 of discussion about that over the last few hours but where  
2274 we've ultimately ended up at this point following our review  
2275 and analysis of the existing bench-mark data is falling  
2276 back . . . the breathing gas as part of the requirement.  
2277 Breathing resistance, I guess another topic as far as the,  
2278 what's currently been specified in the concept paper as being  
2279 too restrictive enforcing the use of ventilation and exhala-  
2280 tion valves, but the one thing that we have considered and  
2281 based on the population that could potentially be using this  
2282 device being diverse and various physical conditions that we  
2283 felt that the 20 millimeters of water was probably appropriate  
2284 to encompass a wide range of the population.

2285 Communication, this was another issue that we bannered  
2286 about. We do have a communication requirement for the gas  
2287 mask. Originally we considered it as an option for the escape  
2288 respirator, but, you know, from reviewing the docket comments  
2289 as well as doing some additional conceptual thinking in the  
2290 application of this device as being an escape respirator that

2291 there probably isn't a need for having a communications  
2292 requirement, especially in the light of, you know, the poten-  
2293 tial for using a mouth bit type system.

2294         The chemical warfare agent testing, I think the community  
2295 as a whole is getting a little, a little more comfortable with  
2296 regard to how or how this testing is being done. There have  
2297 been some, I guess, inconsistencies with what we've specified  
2298 in the concept paper and I think to that and we've tried to  
2299 resolve those inconsistencies. I think one thing that we can  
2300 appreciate with the technology, the test technology that  
2301 SBCCOM has is that they truly have developed a capability of  
2302 to test a wide spectrum of respirators and I think it's, the  
2303 trend is that, you know, that capability will continue.

2304         One of the topics that was discussed at the last public  
2305 meeting was the need for dermal protection and leaning towards  
2306 the design for having a hooded-type hood requirement for the  
2307 respirator and we feel that as a very important part of the  
2308 overall design for the system. I think the concept was  
2309 pretty, I think pretty well explained this morning with the  
2310 selection of the two different criteria for sampling in the  
2311 breathing zone and also sampling underneath the hood. And,  
2312 again, the overall use of the respirator in conjunction with  
2313 any other protective clothing would need to be addressed as  
2314 part of the cautions and limitations associated with the

2315 respirator, you know, granted that, you know, considering  
2316 using the escape respirator that people will probably maybe  
2317 dress the way we are today, but in terms of being able to  
2318 identify for the user community what, what this hood or what  
2319 this system, the respirator system will and won't protect  
2320 against.

2321         And, I guess one of the concerns from the last meeting  
2322 was what specific and low and general and high all meant and I  
2323 think you know we're trying to define that a little clearer as  
2324 we move along. I think with the re-definition and I think by  
2325 the time we get around to identifying the final concept paper  
2326 that we should have this fairly well defined. Carbon monoxide  
2327 we've also been discussing and you know that we feel that's  
2328 important to leave as an added option for the manufacturer to  
2329 pursue as part of his respirator if he so chooses.

2330         The field of view we initially started out in the concept  
2331 paper using the requirements that were established with the  
2332 full facepiece gas mask. Recognizing that, you know, there  
2333 are intrinsic differences in the design of the system that  
2334 we've established less restrictive criteria for the use of  
2335 these hoods versus what had been originally identified.

2336         The fogging requirements, I think Frank had articulated  
2337 this earlier that there are some deviations with how this test  
2338 has done as compared to the way the requirement was originally

2339 established for the gas mask and at least at this point we  
2340 feel those are adequate for providing the required protection.

2341 Flammability testing and wanted to make sure that we  
2342 didn't ignore Jay with the comment about alternative tests.  
2343 You know I think this is I guess of interest to the community  
2344 in particular of using this in conjunction with you know  
2345 evacuating from a or escaping from a scenario where fire and  
2346 products of combustion may be involved. You know we have been  
2347 looking at, you know, the different tests that have been  
2348 required and we'll make a determination based on what we feel  
2349 is appropriate for this type of system. Again, as I think Les  
2350 had mentioned this morning, we are looking at a single burner  
2351 not a multiple burner test for the requirement.

2352 There's been some general debate regarding the gas life  
2353 and gas capacity and we did receive several comments regarding  
2354 what should be established as the test challenges as well as  
2355 the test breakthroughs. I think, in general, and I can't  
2356 reiterate, I think reiterate this enough that you know in  
2357 looking at the filter life is that we're really trying to  
2358 achieve an overall balance of protection. You know in looking  
2359 at this system as being an escape device to you know ensure  
2360 that we're providing enough capacity in the filtration system  
2361 to allow an individual to escape from an area. I think one of  
2362 the things that we'll be continue to evaluate with regard to

2363 the gas life and looking at the breakthrough as the potential  
2364 use of the emergency response planning guidelines and their  
2365 appropriateness for this type of device. Also as Les had  
2366 mentioned about the debate on the ratings, looking at level A,  
2367 level B, you know, we could see this getting into a not just a  
2368 certification, but also potential use nightmare for trying to  
2369 sort out, you know, which devices go where and the lack of  
2370 control in where these items may be used when the user pur-  
2371 chase them and where they would potentially place them for use  
2372 at a later time.

2373 I guess no one has commented on LRPL, but I think, in  
2374 looking at the 2,000 value, you know, from our perspective,  
2375 you know we're trying to identify values that are consistent  
2376 with the protection we feel is necessary. I think, you know,  
2377 in terms of doing the dual sampling I think is a step forward  
2378 to helping protect the individual with the respiratory hazard  
2379 as well as anything that they may encounter in the sensitive  
2380 areas underneath the hood. You know, 2,000 I guess the, you  
2381 know, we have some precedence in where that number came from.  
2382 Obviously it's from a gas mask standard, but I think even with  
2383 the experience with the military systems even though that the  
2384 military and the joint service requirements may have a lower  
2385 value that historically much higher protection values have  
2386 been seen in testing. And, again, this is something that we

2387 will continue to consider over you know the next several  
2388 weeks.

2389         Panic demand, you know, again, in trying to be responsive  
2390 to some of the concerns that had been raised from stakeholders  
2391 about providing excess capacity in the system for situations  
2392 where people may be breathing at a higher flow rates that  
2393 we've incorporated that requirement for both the general and  
2394 specific category.

2395         One other, we didn't address this specifically as part of  
2396 this presentation today and I think the manufacturers and  
2397 other stakeholders that have been tracking the program know  
2398 that we have a research and development program set up with  
2399 our partners at SBCCOM for helping the manufacturers conduct  
2400 pre-certification testing to see how well their materials or  
2401 high well their systems may perform as part of the overall  
2402 protection against penetration/permeation, effects of chemical  
2403 warfare agents. Again, one of the things to note here is that  
2404 for the R&D program that if there's certification testing to  
2405 be conducted, certification testing will always have priority  
2406 over the evaluations of the R&D program. You know, I think  
2407 that's, I think with the system as SBCCOM continues to expand  
2408 their capabilities as well as some other activities we may be  
2409 considering that, you know, trying to ensure that we'll always

2410 have that capacity to be responsive not only to the certifica-  
2411 tion program but also to be responsive to the R&D program.

2412         The R&D, and again, this is a good tool as far the pre-  
2413 submission data. If you choose to participate in the R&D  
2414 program, that information can be included as part of the, as  
2415 part of the application package, but it won't be counted as  
2416 certification data. I think we addressed this a little bit on  
2417 the earlier slides as far as the different levels of classifi-  
2418 cation, but again, you know, we felt that, you know, by trying  
2419 to do too much with levels and with different description that  
2420 we may be opening ourselves up to a cumbersome process not  
2421 only for certification but also for user selection and use. I  
2422 believe this came out of the October meeting that there was an  
2423 issue raised regarding the population for who the escape  
2424 respirator should be designed for and the suggestion was or  
2425 the question was raised whether this system would be designed  
2426 for the for non-ambulatory escapes or for children and the  
2427 response at that time was that you know this is designed for  
2428 the general working population and that still holds for what  
2429 we're trying to do with the standard.

2430         And, in conclusion, this is where we see the program  
2431 going over the next couple of months. Based on the oral feed-  
2432 back, we've received from you today as well as the information  
2433 from the docket and other information that we may receive from



2434 stakeholders, we'll be updating our concept paper within the  
2435 next week and putting out a June 30<sup>th</sup> version and I think along  
2436 with that it's important for you to keep in mind at this time  
2437 is that we'll be looking for comments on this version of the  
2438 standard and the information that we've discussed here today  
2439 by the end of July and what we'll be doing at that time is  
2440 reviewing, reviewing your comments, reviewing comments from  
2441 other stakeholders as well as any new information that may be  
2442 provided to NIOSH through the docket and make any final modi-  
2443 fications to the concept paper. From that end, once we've  
2444 completed that review, we will, we're planning on releasing  
2445 the statement of standard for the escape respirators in August  
2446 with the potential for beginning the actual certification pro-  
2447 gram in the October timeframe. The next step in our process  
2448 is we're going to begin work on the powered air-purifying  
2449 respirator standards and we are planning on or developing and  
2450 putting out our initial concept paper for defining the stan-  
2451 dard in the August timeframe. And I guess just to keep in  
2452 mind that you know with the concept paper process, it's an  
2453 iterative process that types of things that you're going to  
2454 see in August are more of the program goal and the criteria,  
2455 the overall, the overarching structure as far as the types of  
2456 requirements we envision for the PAPR. We aren't at this  
2457 time, the actual definition of specific tests and specific

2458 requirements may not be as well defined as you're seeing now  
2459 on these current versions of the escape respirator, but, you  
2460 know, we are going to be moving forward in the development of  
2461 that standard and to that end, that we envision that somewhere  
2462 in the October timeframe we'll be conducting our next public  
2463 meeting to introduce the powered air-purifying respirator  
2464 standard and begin dialogue on the concepts associated with  
2465 that. I am aware there are several other conferences going on  
2466 during October. The fire fighters have the red-man conference  
2467 in October. NIOSH has a big research agenda conference in  
2468 October and we will be you know try to be sensitive to the  
2469 scheduling of that meeting to allow you to make a choice or  
2470 allow you to be able to participate and not have to make a  
2471 choice between attending one or attending another. And with  
2472 that, what I'd like to do is open up the floor for any general  
2473 comments on the escape respirator and then we had a request is  
2474 Mr. Bennett still in the audience? Mr. Bennett, okay, but at  
2475 this point, I'd like to open up for any you know comments  
2476 regarding the escape respirator, either the air-purifying or  
2477 the self-contained.

2478           **GÖRAN BERNDTSSON:** Why should I break the tradition?  
2479 Göran Berndtsson from SEA, have you considered to classify  
2480 this in some other means than 15, 30, 45, and 60 minutes  
2481 because the reason why I raised this is because the end users

2482 are going to expect that number to be the performance and  
2483 that's not necessary true. Maybe classes should be 1, 2, 3, 4  
2484 or it's only a test method as against a certain criteria or go  
2485 to step numbers.

2486           **UNKNOWN SPEAKER:** I think, part of answering that ques-  
2487 tion, I think gets into developing the guidelines for use  
2488 associated with the respirator. I think along with the gas  
2489 mask standard, we took the approach of identifying the rating  
2490 as the tested period that you know you tested for 15, 30,  
2491 45 minutes and part of what we followed on with that program  
2492 is the development of guidelines to assist the user in how to  
2493 use the system and what that means in terms of, you know, some  
2494 of the things that we've conceptualized is that to help an  
2495 industrial hygienist or someone know, you know, CBRN 15 means.  
2496 Means what? It means that, you know, that you'll provide  
2497 15 minutes worth of protection at this concentration and  
2498 you'll get this breakthrough and you'll determine capacity for  
2499 the system and basically what we're doing is we're determining  
2500 system capacity for the filtration and I think the next chal-  
2501 lenge for us is to take a look at in developing supporting  
2502 guidelines and information associated with this product to  
2503 carry that type of a discussion forward.

2504           **GÖRAN BERNDTSSON:** There is possibly a different audience  
2505 here. I mean there is no fire fighter who doesn't know that a

2506 30-minute (inaudible) doesn't last 30 minutes. There's a lot  
2507 of people in this industry who understands that and here we're  
2508 going to go out to public who might not understand that what  
2509 you are testing it against. I mean it could last 50 minutes,  
2510 escape respirator could last 30 minutes or 20 or 25 depending  
2511 on what (inaudible) could last 12 or 14 or 7. So that's why I  
2512 think it is, it could be misleading to a novice audience.

2513           **UNKNOWN SPEAKER:** I appreciate, I appreciate your point  
2514 on that, Göran, and I think part of the education process  
2515 that's associated with the escape respirator, you know, falls  
2516 into the analysis, the analysis and need for individuals or  
2517 businesses when they make a determination that I need a  
2518 respirator and part of that goes into if I need a respirator  
2519 what kind of a respirator do I need and select a respirator  
2520 based upon that need. You know one of the sidewalk  
2521 conversations that we had earlier was somebody from one agency  
2522 said they did their own, they did their own risk analysis and  
2523 they made a determination that they weren't going to provide  
2524 or they weren't going to purchase an escape respirator. It  
2525 didn't make sense for their application and I think in dealing  
2526 with this population that one of the criteria in looking, in  
2527 looking forward and how it's going to be used is to raise the  
2528 general understanding of the users as far as why do I need the  
2529 respirator and then in turn how do I need to make that

2530 selection of a respirator that will provide the protection  
2531 that I'm looking for.

2532           **RANDALL TEMPLETON:** It's Randy Templeton, DuPont. Your  
2533 comments lead into my question and that is are we receiving,  
2534 I'm sure you're in communication with OSHA on a regular basis,  
2535 but is there a sense that there will be OSHA guidelines help-  
2536 ing the general working population for which this standard is  
2537 being written to assess their requirement to supply their  
2538 employees with this product? We can develop a standard and we  
2539 can design products and we can certify products against that  
2540 standard, but who is it for? It seems to me that there's a  
2541 limit for voluntary decision to use that.

2542           **UNKNOWN SPEAKER:** It might fall in NIOSH's realm.

2543           **RANDALL TEMPLETON:** Exactly.

2544           **UNKNOWN SPEAKER:** Thank you for that question. Actually  
2545 I hate to put her on the spot, but we have a representative  
2546 here from OSHA today, Caroline Freeman, who we've been working  
2547 with, you know, during the development of the standards pro-  
2548 cess and may be she can address that a little better than I  
2549 can. So if you don't mind Caroline . . .

2550           **CAROLINE FREEMAN:** Ah yeah, I'm Caroline Freeman from  
2551 OSHA and you mentioned guidelines. Guidelines are certainly  
2552 doable. We don't have anything on our agenda right now for  
2553 guidelines from the agency, but we certainly would consider

2554 guidelines. I don't know if your question is really directed  
2555 towards requirements or recommendations or guidance or what we  
2556 allow. Perhaps you can clarify that because certainly we can  
2557 do guidance materials and think that they're important along  
2558 with the training aspects perhaps even prioritizing what  
2559 we . . . Who was the Federal agency who did a risk analysis  
2560 and said that they didn't have any risk? Anyway, we would  
2561 like to work with a, we would absolutely be in concert with  
2562 NIOSH and working out guidance on these on these CBRN tests.  
2563 We are very glad to see them. The more CBRN tested equipment,  
2564 the more tested equipment that there is, the more we know and  
2565 we can separate what we don't know and it reduces the need for  
2566 professional independent judgment so certainly we'll be work-  
2567 ing on guidelines, no problem. We just don't have it on the  
2568 schedule now. None scheduled now. We are working on a  
2569 guidance document right now that will tell you what OSHA's  
2570 standards currently require and CBRN tested equipment comes up  
2571 with that guidance document. It's not a particular guidance  
2572 document on CBRN equipment. This is in a simple, single-to-  
2573 use document. What do OSHA's standards, safety, health,  
2574 construction require in the event of an intentional disaster  
2575 or other types of situations where PPE are required? What is  
2576 the bottom line on the current patchwork of Federal standards  
2577 that are out there for the workers and certainly we are

2578 considering talking about CBRN equipment in that, but it's not  
2579 specifically . . .

2580 UNKNOWN SPEAKER: That's after the fact? Right?

2581 CAROLINE FREEMAN: After the event.

2582 UNKNOWN SPEAKER: The escape respirator designed antici-  
2583 pating the standard, what you just said(inaudible).

2584 CAROLINE FREEMAN: So does all of this, the purpose of  
2585 this document is to anticipate the event.

2586 UNKNOWN SPEAKER: (inaudible)

2587 CAROLINE FREEMAN: To participate, yes, absolutely and  
2588 OSHA's reactions as far as, may be I don't understand the  
2589 question because OSHA's reaction in terms of enforcement  
2590 capability would depend upon the, certainly we would want to  
2591 go for prevention and planning and training. We hope that the  
2592 document we put out is a planning tool. We certainly hope any  
2593 guidance we write on CBRN equipment is a planning tool whether  
2594 it's planning to escape or . . . We hope these are planning  
2595 tools and we would take a lot of consideration at the amount  
2596 of effort employers or other groups have taken in setting up  
2597 strategic plans. Does that answer your question?

2598 RICH STEIN: Rich Stein, QPS, it appears that this docu-  
2599 ment that we've looked at today is, I don't know, pick a  
2600 number 70% complete and there are a lot of holes and if I  
2601 understood your schedule, the next step is to have a full-

2602 blown completed document which then we have no ability to  
2603 comment on and change? Is that the system? Is that how it's  
2604 going to work?

2605 **UNKNOWN SPEAKER:** It sounds like a policy question.

2606 **LES BOORD:** I think in line with some of the comments  
2607 we've heard earlier relative to how we continue to follow  
2608 through or perhaps drop the ball with the full facepiece, we  
2609 would intend to keep posting this document and our guidelines  
2610 there were middle and end of the month. I see no reason to  
2611 not continue to do that. We do know that on June 30<sup>th</sup> we will  
2612 have a revision because we've talked about some of those  
2613 revisions today that are going to appear in that document.

2614 **RICH STEIN:** But by revision, do you mean you're going to  
2615 have a complete set of standards so that we can look at and  
2616 say okay this is what they think is a complete standard then  
2617 we can make our comments or is it going to be pieces again?

2618 **LES BOORD:** I guess I don't understand the pieces. I  
2619 think the concept is a, it is an evolving, whoops, excuse me,  
2620 it's an evolving document so it does become more mature with  
2621 each, with each revision level.

2622 **RICH STEIN:** Okay, but then there'll not, at some point  
2623 in August, there will be a completed document and that'll be  
2624 it, it'll be done.



2625           **LES BOORD:** The goal is that towards the end of August,  
2626 we should be looking at a, I always use the word near, near  
2627 final, yes, final.

2628           **UNKNOWN SPEAKER:** I'll take both of those last two ques-  
2629 tions. I tend to go out on a limb. With regard to cautions,  
2630 limitations, restrictions of use, guidance, NIOSH is working  
2631 on the guidance on these escape hoods as well as on other  
2632 respirators. We'll be collaborating with OSHA on those. We  
2633 do have some drafts already available, but with regard to the  
2634 escape hoods specifically, we've looked at the manuals on the  
2635 three escape hoods that we've tested and they're excellent and  
2636 if anyone would refer to those manuals and read them, they  
2637 would see what cautions, limitations, and restrictions of use  
2638 are in fact important. So they're on target. With regards to  
2639 the second question, it is a fact that within 2 months, we  
2640 will have a final standard. I don't think it's only 70%  
2641 complete. I think it's almost complete and I would say 90%  
2642 complete. The issues you raised today on the 2,000 protection  
2643 factor we'll look at, but within the next 30 days, we'll be  
2644 finalizing the standard and our implementation date is some-  
2645 where around the end of August and you probably will not have  
2646 another opportunity other than what you send into the docket  
2647 office to comment. We're seeing this as a near-complete stan-  
2648 dard. So unless we see a major issue that would delay our

2649 implementation, we're on line for implementing in the sched-  
2650 ules that you saw. Call me or write me a letter if you see it  
2651 differently, but that's where we're going right now.

2652 **SAM SHEARER:** May be I can give them something to delay  
2653 it with. Sam Shearer, CSE Corporation, this afternoon I heard  
2654 a couple of words that sort of caught my attention and one was  
2655 a nose clip, mouth piece. We're thinking may be we can use  
2656 those.

2657 **LES BOORD:** There are escape respirators that do utilize  
2658 nose clips and mouth pieces.

2659 **SAM SHEARER:** Okay, we use that in CSE's unit. Could I  
2660 ask for one more piece: goggles which we use in . . .

2661 **LES BOORD:** The requirement for the CBRN escape respira-  
2662 tors, both air-purifying and self-contained, are for a unit  
2663 that does provide eye protection in the form of a head cover-  
2664 ing. So it really is an integrated system that could include  
2665 a nose cup or a mouth bit and nose clips with a hood.

2666 **SAM SHEARER:** I'm just wondering if I have goggles on,  
2667 why do I need a hood?

2668 **LES BOORD:** Yeah. We're looking at the actual head  
2669 protection, the percutaneous exposures for the agents on the  
2670 head.

2671 **SAM SHEARER:** Yeah, but I have hands, arms, all of that  
2672 that could be exposed.

2673           **LES BOORD:** True, but I think the experts will tell you  
2674 that the eye is probably a little more sensitive than  
2675 skin . . .

2676           **SAM SHEARER:** Yeah I know, but if I have goggles on, I'm  
2677 sealed around. So that's protected.

2678           **UNKNOWN SPEAKER:** (inaudible)

2679           **LES BOORD:** That's a good comment. As it is now, it is  
2680 stated as a hood, head covering.

2681           **SAM SHEARER:** Okay, I lost!

2682           **JAY PARKER:** Jay Parker with Bullard, you know I was  
2683 struck a little bit by the fact that you're allowing a nose  
2684 cup which you then say means that you have to be clean shaven  
2685 and there's going to be a warning to that effect. Yet you're  
2686 also saying that you know the unit has to be a hood so that  
2687 people with beards can wear it. So I think there's a little  
2688 ambiguity there that you might want to think about a little  
2689 bit.

2690           **LES BOORD:** Yeah, I think that's a good point and I think  
2691 that the facial hair issue is still an issue that still needs  
2692 to be addressed through the proper cautions, limitations, and  
2693 restrictions of use and the presence of facial hair can be  
2694 damaging to any seal, okay, whether it's a nose cup or  
2695 whatever.

2696           **BODO HEINS:** Bodo Heins from Draeger, what turnaround  
2697 time do you expect for the R&D testing? You only said that  
2698 it's probably two, but if I look to the actual, then I would  
2699 expect it mostly a year until we would get results from it and  
2700 that is much too long for a development.

2701           **LES BOORD:** The research and development program that we  
2702 were addressing is the R&D program that we've implemented and  
2703 instituted for the CBRN evaluation. That program is a 3-day  
2704 test period. So and I think that is pretty well defined with  
2705 the information that's on the internet and I think also  
2706 provided in your information packets today. The, so the idea  
2707 is the research testing is 3 days. You're in; you're out.  
2708 The test data is yours. You have the data to utilize. The  
2709 only conflict in scheduling that we run into is priorities  
2710 relative to certification testing. So on a given day, if  
2711 there's certification testing scheduled, that would have a  
2712 priority and I think until this point that hasn't been a major  
2713 a major issue.

2714           **GÖRAN BERNDTSSON:** Göran Berndtsson, SEA, I'm not really  
2715 clear on that question to OSHA and may be I can refresh that  
2716 again. Will OSHA have the requirement for buying hoods for  
2717 escape purposes? If it is yes, that's fine. If it is no,  
2718 would it have a guideline saying that if you buy escape hoods,  
2719 they should be NIOSH approved, yes or no?

2720 UNKNOWN SPEAKER: Is that what you wanted to know?

2721 CAROLINE FREEMAN: Thanks Göran, these decisions will be  
2722 made at a high level after careful consideration and discus-  
2723 sion with NIOSH. This is a major question before OSHA now.  
2724 As I said, as CBRN-tested equipment comes out, there's a sigh  
2725 of relief by this Federal agency in terms of the need for  
2726 personal judgment. So we'll be making that decision at a high  
2727 level. We've been asked by several first-responder communi-  
2728 ties. Well are you talking about escape hoods only or CBRN in  
2729 general?

2730 GÖRAN BERNDTSSON: (inaudible)

2731 CAROLINE FREEMAN: CBRN in general and escape in particu-  
2732 lar, what NIOSH is doing has tremendous impact and with the  
2733 findings from NIOSH in their hands OSHA will certainly take  
2734 appropriate steps and this will be made , this decision is  
2735 being made and will be made at a high level with a lot of  
2736 careful consideration. There's money issues out there and  
2737 there's possibility and likelihood of the events and who is  
2738 the target and how much time do we have in a situation where  
2739 we probably have some certainties. We'll be moving fast on  
2740 that high-level decision.

2741 LES BOORD: Thank you Caroline. As mentioned a little  
2742 earlier, we do work closely with OSHA and they are aware of  
2743 what we're doing and they are pretty much informed on the

2744 progress that we make and as Rich mentioned, the project to  
2745 identify specific guidance documents, cautions, limitations,  
2746 and restrictions of use is something that we are looking at  
2747 and we've identified resources to do that and actually carry  
2748 out that function.

2749       Okay if there are no further questions, what we'd like to  
2750 do . . .

2751       **UNKNOWN SPEAKER:** I'm actually going to do something dif-  
2752 ferent. I'm going to say I think you do a really, really good  
2753 job. I'm pleased to see how this is developing as a part-  
2754 nership with the industry and this meeting, I think, is very,  
2755 very helpful. So I thought I . . . I want to say that.

2756       **LES BOORD:** Thank you. We can take a few more of those  
2757 comments. What we'd like to do is according to the agenda, we  
2758 had a comment period and we didn't have any official partici-  
2759 pants and what we have is we've scheduled the discussions on  
2760 the QA Module to begin at 2:45 pm, so we're running about  
2761 5 minutes ahead from our break, but what we should do is I  
2762 think let's take that break and let's resume at 2:45 pm at  
2763 which time we'll take up the QA Module discussions. Thank  
2764 you.

2765       **ROLAND BERRYANN:** We're ready to begin now about dis-  
2766 cussions about the quality assurance module that will be  
2767 coming out as a proposal this fall and I'd just like to make a

2768 few comments. The first one should make everyone happy is due  
2769 to popular demand by participants, we're going to start tomor-  
2770 row morning's meeting with the manufacturers to talk about the  
2771 certification process and possible improvements to it till  
2772 8 o'clock rather than 9. Please hold the cheers down. I  
2773 know. Okay, uhm, what we're going to do here in the next  
2774 about an hour is we're going to update everybody on what we've  
2775 been doing on the quality assurance module and basically we  
2776 have been revitalizing our efforts in the development of the  
2777 concepts for the quality assurance and administrative pro-  
2778 visions for a proposed rule that we intend to come out this  
2779 fall around October. And, the first change has been personnel  
2780 changes. Matt Boyer\* was heading the project previously and  
2781 when the transition to NPPTL, Matt did not transfer with the  
2782 program and we've been lucky that Bob Stein and David Book  
2783 who's joined our program and QA program have assumed the task  
2784 of taking on the project and moving it forward and we're very  
2785 pleased. They've been doing an excellent job. They've been  
2786 building on the work that Matt did previously and what we're  
2787 going to discuss today is, as I think a lot of you probably  
2788 remember a few years ago, we had some public meetings and  
2789 talked about the concepts. They were I think in 2000 as Rich  
2790 said before the 9/11 events, relocation of the lab, and  
2791 several other things that kind of slowed down the progress,

2792 but the good news is there's a new ISO standard that came out  
2793 in 2000 that we're revisiting and looking at as to how we can  
2794 implement that into our program. David's going to tell you  
2795 about that. So we've done reassessments of the ISO standards  
2796 and how we think we can implement those into our process,  
2797 upgrade the standards. We've had some limited experience in  
2798 the use of private sector auditors in doing quality assurance  
2799 auditors and we've been reorganized into the NPPTL from our  
2800 previous structure in the division of respiratory disease  
2801 studies. So today's presentation, like I said, is going to  
2802 focus on the changes and the concepts from which you saw and  
2803 heard a few years ago and the concepts, the complete concepts,  
2804 will be mounted on the web page within the next few weeks. So  
2805 with no further ado, here's Dave Book. By the way, we value  
2806 him so much. Ask any questions you want while he's here  
2807 because next week, we're sending him to Toronto.

2808         **DAVE BOOK:** It's so nice to be loved. As Roland pointed  
2809 out, we're trying to create a summary of what we've done.  
2810 Most of this has been done through presentations and small  
2811 group discussions rather than the formal paper and posting  
2812 process that has been being used with the other standards that  
2813 have been introduced. We're trying to catch up to that and  
2814 get that information out to you on the website. These slides  
2815 should be available with the packet that's coming later so



2816 we're playing a little catch-up here, but I think if you bear  
2817 with me, you'll get some new information.

2818         Looking back, where were we? Let's get these up. Okay,  
2819 from 1972 to 1995, we really had no new respiratory standards  
2820 introduced. In 1995, we introduced the 42 CFR and a number of  
2821 things happened with that. First off, it itself was a stan-  
2822 dard for particulate filters and it was nice to have a newer  
2823 standard, but it also introduced a modular process where we  
2824 were looking to update the standard on a regular basis and it  
2825 really began our standard development activities. Since 1996,  
2826 you've seen the results of a number of those. The CBRN self-  
2827 contained breathing apparatus standard is out. The CBRN air-  
2828 purifying respirator standard is out. We've talked here  
2829 extensively about the CBRN escape respirator and the self-  
2830 contained self-rescuers so you know there's a bunch of  
2831 activity on those fronts. And this is the new one the Quality  
2832 Assurance Module and it's technically the Quality Assurance  
2833 and Administrative Module, so you'll find a number of things  
2834 outside of strictly quality assurance that are kind of  
2835 attached here because it's an opportunity to move to role  
2836 making and we like to take advantage of all opportunities.

2837         I'm not responsible for the little swooshing sounds.  
2838 The, we, as Roland pointed out, we began this process in 2000.  
2839 We had stakeholder meetings with individuals and groups. We

2840 actually had an announced conference with private sector  
2841 laboratory folks and auditors to get their input to what they  
2842 thought might be an approach to using their skills efficiently  
2843 and effectively. And we had a . . . We had a public meeting  
2844 in August on this subject. What we're trying to do is update  
2845 you with information that's happened since that time. Since  
2846 then, ISO 9000 has moved on from the 1994 standard to the 2000  
2847 standard. The 2000 standard requires both a process focus and  
2848 an effectiveness focus and we think those are really critical  
2849 to some of our decisions subsequent to that. And, of course,  
2850 the laboratory, the NPPTL laboratory itself was established a  
2851 year and a half ago, and, of course, we have new personnel  
2852 which is why I'm here and Matt isn't. And we're actually  
2853 pretty excited about the new personnel. They came with a lot  
2854 of experience from the industry and a lot of academic back-  
2855 ground also, so we had the best of both worlds in that we got  
2856 to see the experience of the long-time Federal employees that  
2857 had worked on the respirator community and some new fresh  
2858 faces and ideas and they worked really well together.

2859       Okay, the impact of the new QA Module, there are a couple  
2860 that really rely on the manufacturers or the approval holders,  
2861 would like to be able to encourage the youth of contemporary  
2862 manufacturing processes and we'd like to be able to replace  
2863 some outdated quality requirements. We don't want to be in

2864 the position of having manufacturers out there saying we could  
2865 do this better except as NIOSH requires. So we're trying to  
2866 get past some of those hurdles. We've also, we run a number  
2867 of audit programs through NIOSH. One of which is a . . . and  
2868 as we go out into the field, we really have found significant  
2869 nonconformance rates. The statistic that's quoted most often  
2870 has to do with the product evaluation program where we find  
2871 40% of the products we look at out of compliance. Well at  
2872 first (inaudible), that's really bad. Most of those are label  
2873 or documentation problems which don't affect product, but 5%  
2874 what of our product audits do reveal a significant  
2875 health/safety performance problem that requires a retrofitter  
2876 recall and we're going to . . . we'd like to be able to get  
2877 that figure done. We hope this will help to do that. Also  
2878 internally for NIOSH, we've hope the new standard development  
2879 will allow us to use our internal resources better to utilize  
2880 outside resources better, and of course, there's that fee  
2881 issue we'd like to be able to retain those so that we can keep  
2882 the program viable and that's part of the proposal.

2883       These are the slides . . . these are what was actually  
2884 presented in 2000: these two-section slides and I'm just  
2885 going to go through the objectives here and then as we get a  
2886 little later, we'll see what our proposed mechanism was and  
2887 what our current mechanism for meeting that objective are at

2888 this point. Sometimes they've changed; sometimes they've  
2889 stayed the same so that'll give you some idea of where we're  
2890 being consistent and where we're having new thoughts. We'd  
2891 like the quality assurance program to be consistent with  
2892 international standards. I don't think there's any disagree-  
2893 ment with that from this room as far as I've seen. We got a  
2894 number of products specific quality assurance requirements,  
2895 quality plans, sampling procedures, quality production  
2896 records. We had specific recommendations in those areas and  
2897 you'll see how they've evolved. We'd like to validate a  
2898 quality system prior to approval. At this point, the only  
2899 validation step we have is a paper validation step and we're  
2900 seeing that's not always effective.

2901 We'd like to be able to audit our manufacturers on a more  
2902 frequent basis. That again goes back to what we're seeing as  
2903 end-product questions. Semi-annual site audits was the most  
2904 frequent requirements. We're not actually planning on showing  
2905 up every 6 months, but we'd like the authority to show up that  
2906 often if we like you a lot. And annual product audits and we  
2907 see those two as tied together. Trying to be able to see what  
2908 you're system is doing and what you're actually producing.  
2909 The fees question we'd like to recover and retain fees. We'd  
2910 like those fees to be for the approval processing that we're  
2911 currently doing. There's a new records maintenance fee.

2912 We'll talk about that in a bit. Quality activity fees, we'd  
2913 like those simply to cover our costs and we'd like to retain  
2914 the fees within the program. This is consistent with what  
2915 we've done with the CBRN program so again we see old programs  
2916 and new programs walking hand in hand down pretty much to the  
2917 same path.

2918 Label adequacy for air-purifying respirators, there was  
2919 in 2000, there was a significant requirement to make them  
2920 simpler to really put on there what the users need.

2921 Okay, so where are we at today? The QA Module, itself,  
2922 is relatively mature. We've worked on it in house a lot.  
2923 We're fairly happy with where it is and we're comfortable  
2924 bringing it forward to say, let's see what you have to say;  
2925 let's see how we interact; and hopefully we can go from here  
2926 to CFR language fairly quickly. It's a hybrid process. It's  
2927 like the CBRN process, but it's a little different. It says  
2928 periodic posting of concepts. Well, the first period will be  
2929 pretty soon. We're getting to that and again we're taking the  
2930 opportunities to interact both electronically and in person.

2931 Alright, probably the first and biggest change, when we  
2932 talked last, our approach to being consistent with internal  
2933 standards was to incorporate the ISO 9000 elements into the  
2934 body of the CFR. So you would have this whole extended NIOSH-  
2935 specific ISO-like thing to comply with or to audit to. We've

2936 decided to bite the bullet and simply incorporate  
2937 ISO 9000/2000 by reference. What this means is that those of  
2938 you out there who are ISO certified are registered at this  
2939 point should have a compliance system and shouldn't need to do  
2940 a lot of NIOSH-specific things. There are some. We'll retain  
2941 some NIOSH-specific things, but not nearly as much as if we'd  
2942 taken the other approach. Those of you who are not ISO regis-  
2943 tered, ISO is a standard. It can be applied whether you're  
2944 registered or certified or not. So you'll have to create a  
2945 quality manual as you do now and simply have it meet those  
2946 elements. We'll leave that there.

2947       Product-specific QA requirements in 2000, we were looking  
2948 to add specific end process controls. We decided that the  
2949 manufacturers had a much better idea what their processes  
2950 looked like than we did and we're simply asking that you  
2951 upgrade your systems through your ISO 9000 process through  
2952 your corrective actions, your preventive actions, your inter-  
2953 nal audits that that should meet that requirement.

2954       There was a large discussion on sampling, sampling plans,  
2955 and approaches on those subjects in 2000. What we'd like to  
2956 do is to allow for a transition from a sampling and inspection  
2957 mentality towards a statistical process control approach.  
2958 Right now, I got one thumbs up anyway. Right now, the current  
2959 sampling plan is based on military standard 105D which has

2960 evolved to ANSI Z1.04 and Z1.09, Z1.9, it's okay you know what  
2961 it is. We're going to allow a transition period for those  
2962 manufacturers out there use to that, working with that,  
2963 dealing with that. We think we might upgrade the quality  
2964 levels a little bit for consistency, but no major standard for  
2965 a transition period. The, we, there are a number of manu-  
2966 facturers who prefer to use a sampling plan or in position  
2967 where they're purchasing a lot of their components and really  
2968 can't do process, statistical process control of their sup-  
2969 pliers. So we've left that option available through a zero-  
2970 defects sampling plan which will go forward and that's really  
2971 part of military standard 1960 for those of you who are  
2972 working in this area. We think that provides the end user a  
2973 little better protection. It should be a little simpler to  
2974 use. It's, we're always going to need some sampling plans.  
2975 Our preferred approach is to do statistical process controls  
2976 specifically to monitor processes and we're measuring around  
2977 CPKs and again those we looked at military standard 1916 which  
2978 also lists a very comparative set of CPKs for minor, major,  
2979 and critical components. That's very similar to what we've  
2980 been doing. So we've adopted basically those levels of CPKs.  
2981 That's sampling plans in a nutshell. I'm sure I'll have ques-  
2982 tions and we can expand on that a bit.

2983           We wanted to incorporate first-piece inspection and  
2984 tests. We're going to have limited implementation of that.  
2985 There's still some in-house debate about what's a first-piece  
2986 inspection, how long does a process have to be down before you  
2987 start doing that. We're going to need some dialogue on that.  
2988 We wanted a complaint notification program so that NIOSH knew  
2989 when you were having major field problems because we get kind  
2990 of blind sided with this stuff occasionally. We've left that  
2991 in. We haven't changed that.

2992           Retention of quality records for the life of the major  
2993 components, that seemed like a reasonable requirement and we  
2994 really haven't modified that since we talked last.

2995           Now a day\* quality systems prior to approval, we were  
2996 looking at having a manufacturing site audit before granting  
2997 an approval. That seems like a prudent thing to do. We've  
2998 retained that without significant modification.

2999           Audit frequency consistent with current quality prac-  
3000 tices, the original plan was to authorize RAB accredited  
3001 auditors. That's a little bit redundant since they're already  
3002 been screened and approved and vetted and all that good stuff.  
3003 So we're going to use them. We're not going to try and set up  
3004 our own accreditation of an accreditation program. And we've  
3005 had some experience with that over the last year and a half  
3006 where we've had external auditors doing some of the field



3007 audits for us sometimes accompanied sometimes alone. And  
3008 that's going fairly well and we've learned a lot about how  
3009 external auditors are going to approach the audits that we've  
3010 been doing internally for years. And the mind sets are a  
3011 little different. So we're hoping to be able to incorporate  
3012 that information. We wanted to use authorized accredited  
3013 labs. We've retained that. Again, we've had some experience  
3014 with that, some limited experience with that with SBCCOM  
3015 folks. We haven't expanded that at this point outside of  
3016 Governmental laboratories but again the interaction has been  
3017 valuable to us and we're looking to do that on a test-by-test  
3018 basis not as a blanket laboratory approval program.

3019 Recover and retain fees, obviously, from this slide we  
3020 had a lot of good ideas in 2000 and we've kept them all. We'd  
3021 like to recover the cost for approval process. Those fees  
3022 will go up, but there not the kind of changes you've seen with  
3023 some of the CBRN fees. We're just trying to cover our actual  
3024 real costs not that we're doing anything else over there in  
3025 CBRN mind you but I know there's been some sticker shock over  
3026 there. We'd like to have a maintenance of approval records  
3027 fee and that's really two-fold. First off, it recovers our  
3028 cost for doing those services, but the other thing is it  
3029 forces us to be in dialogue at least once a year to see  
3030 whether the check came in or not. We've been having a lot of

3031 difficulties with folks who've been maintaining obsolete  
3032 approvals even though they haven't manufactured a respirator  
3033 in 5 or 10 years and then you get to where they've gone out of  
3034 business and you have this whole kind of gee I didn't know,  
3035 gee you didn't tell me kind of scenario goes on. So that at  
3036 least creates at least an annual dialogue to say you haven't  
3037 sent me a check you're really still in this business and we'd  
3038 like to recover the cost of the products audit and compliance  
3039 investigations. One of the sneaky things we might ask for is  
3040 when we do product audits is to have the manufacturers supply  
3041 us with those devices, those respirators. Right now most of  
3042 you've been very good about that and it's been a kind of a  
3043 goodwill-okay-sort-of deal. We'd like to formalize that.

3044         In 2000, we were looking seriously at air-purifying  
3045 respirator labels. It was considered that they were too  
3046 complicated and they provided the user with information that  
3047 they never used and there was a cost problem there. We're not  
3048 at this point sure if the needs and the demands for that are  
3049 still there, whether we want to clutter up the QA module with  
3050 a label requirement so we need your feedback on this. So if  
3051 this is an issue for you out there, let us know and we'll see  
3052 that we get in here and we get this passed forward. This is a  
3053 place where we actively are encouraging you to send us notes  
3054 and letters and comments.

3055           Gee I wonder if there's a fourth one. Opportunities to  
3056 improve, this is one of those is that we hope that by having  
3057 these discussions that there'll be better acceptance of the  
3058 rules as they come out and so . . .

3059           . . . will substantially improve the quality, the  
3060 reliability, our ability to verify that on an ongoing basis  
3061 and we think that retaining the fees will help us as we move  
3062 forward in our program.

3063           Schedule, as in any schedule that involves Rich Metzler,  
3064 it's ambitious. The QA concepts are currently being revised.  
3065 This is, we've been working diligently on those. We're having  
3066 our public discussion of the concept in June. We hope to have  
3067 the formal document that outlines what we've been thinking,  
3068 where we want to go, what our first pass of this might look  
3069 like, post it on the website by the end of June. Those of you  
3070 who have calendars know that that's soon, soon. The concept  
3071 docket, hopefully we'll have that up by June and we can have  
3072 some discussion over that, have those comments in by the end  
3073 of July. We will piggyback on the next public meeting to have  
3074 some additional discussion, do a whole bunch of internal  
3075 pushing-this-through-Federal-Government stuff and hopefully  
3076 have a notice of proposed rulemaking out by December/January.  
3077 So that's an ambitious time schedule, but we think we can do  
3078 that and we hope this is of sufficient interest that we will

3079 get feedback quickly and voluminously so that anything we  
3080 might have missed or passed by we won't let it linger very  
3081 long. That's the end of that for now. Questions?

3082 **WILLIAM NEWCOMB:** Bill Newcomb, North Safety, could you  
3083 go back to that first slide?

3084 **DAVE BOOK:** Maybe, ooh, let's see, you want to help drive  
3085 Bob? You can go out and come back. Yeah, because I'm not  
3086 going to slide; here you go. (inaudible) I assume you want  
3087 the next first?

3088 **WILLIAM NEWCOMB:** No, that's the one I wanted.

3089 **DAVE BOOK:** Okay.

3090 **WILLIAM NEWCOMB:** Is that really how you picture the  
3091 manufacturers as dollar science?

3092 **DAVE BOOK:** No, no, we picture them as generating dollars  
3093 for themselves. I don't really know why the dollar sign was  
3094 picked. I didn't pick it, but you can interpret that however  
3095 you want.

3096 **WILLIAM NEWCOMB:** On the NIOSH approval labels issue, we  
3097 as a manufacturer have started to post our labels on our  
3098 website; however, some of them are too big to get into a pdf  
3099 file actually pulled on a website. So it's still an issue,  
3100 but I think it's a good place to put them. Thank you.

3101 **DAVE BOOK:** Thank you.

3102           **JAY PARKER:** Jay Parker with Bullard, am I correct in  
3103 that I think I heard you say that you're going to allow either  
3104 the zero-defect sampling plan or increased sampling or higher  
3105 or more stringent AQL levels under P105E?

3106           **DAVE BOOK:** Right. We'd like to grandfather folks in who  
3107 are current manufacturers to 105T or E for about a 3-year  
3108 period as a transition. We would then like to have two pos-  
3109 sibilities for your sampling assurance programs: (1) zero-  
3110 defect plan or an equivalent. There's always or an equivalent  
3111 and/or to go to a statistical process control based around  
3112 CPKs. So those are what we're viewing as a long-term answer  
3113 to that question.

3114           **JAY PARKER:** But you won't accept 105E with more  
3115 stringent levels as I believe ISCA had recommended to NIOSH  
3116 back in 2000 instead of zero-defect plan?

3117           **DAVE BOOK:** We'll take it under advisement, but that's  
3118 not, we'll go try to, that's news to me, and we'll go relook  
3119 at that issue.

3120           **JAY PARKER:** Thank you.

3121           **DAVE BOOK:** I like this part where I say, "Seeing no  
3122 other questions." Alright, what's next on our agenda?

3123           **LES BOORD:** That wraps up the program for today. I think  
3124 the only message or information is that if you fill out your  
3125 surveys that are provided in the information packet, that

3126 information is really helpful to us in building these meetings  
3127 and also at the reception desk there is an attendance list  
3128 available. So as you exit, drop off the survey form, pick up  
3129 the attendance list. And, again, the start time for tomorrow  
3130 is 8:00 a.m. Thank you.

(END)