

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
PUBLIC HEALTH SERVICE
CENTERS FOR DISEASE CONTROL AND PREVENTION
NATIONAL INSTITUTE FOR OCCUPATIONAL SAFETY AND HEALTH

convenes the

EIGHTEENTH MEETING

ADVISORY BOARD ON
RADIATION AND WORKER HEALTH

VOLUME I

The verbatim transcript of the Meeting of the
Advisory Board on Radiation and Worker Health held
at The Adams Mark St. Louis, 315 Chestnut Street,
St. Louis, Missouri, on October 28, 2003.

C O N T E N T S

October 28, 2003

REGISTRATION AND WELCOME
 Dr. Paul Ziemer, Chair. 7
 Mr. Larry Elliott, Executive Secretary 10

REVIEW AND APPROVAL OF DRAFT MINUTES - MEETING 17
 Dr. Paul Ziemer, Chair.
 .12

CLAIMANT COMMUNICATION
 Ms. Chris Ellison, NIOSH.14

PROGRAM STATUS REPORT
 Mr. David Sundin, NIOSH30

STATUS REPORT - DEPARTMENT OF LABOR
 Mr. Jeff Kotsch, DOL.
 .51

STATUS REPORT - DEPARTMENT OF ENERGY
 Mr. Tom Rollow, DOE. 64

PUBLIC COMMENT PERIOD. 90

DOSE RECONSTRUCTION WORKGROUP
 Mr. Mark Griffon, Workgroup Chair.111

BOARD DISCUSSION. 153

PUBLIC COMMENT. 189

ADJOURN.234

COURT REPORTER'S CERTIFICATION. 235

TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

In the following transcript (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

In the following transcript (phonetically) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

In the following transcript "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

In the following transcript "*" denotes a spelling based on phonetics, without reference available.

In the following transcript (inaudible) signifies speaker failure, usually failure to use a microphone.

P A R T I C I P A N T S

(By Group, in Alphabetical Order)

BOARD MEMBERS

CHAIR

ZIEMER, Paul L., Ph.D.
Professor Emeritus
School of Health Sciences
Purdue University
Lafayette, Indiana

EXECUTIVE SECRETARY

ELLIOTT, Larry J.
Director, Office of Compensation Analysis and Support
National Institute for Occupational Safety and Health
Centers for Disease Control and Prevention
Cincinnati, Ohio

MEMBERSHIP

ANDRADE, Antonio, Ph.D.
Group Leader
Radiation Protection Services Group
Los Alamos National Laboratory
Los Alamos, New Mexico

DeHART, Roy Lynch, M.D., M.P.H.
Director
The Vanderbilt Center for Occupational and Environmental
Medicine
Professor of Medicine
Nashville, Tennessee

ESPINOSA, Richard Lee
Sheet Metal Workers Union Local #49
Johnson Controls
Los Alamos National Laboratory
Española, New Mexico

GIBSON, Michael H.
President
Paper, Allied-Industrial, Chemical, and Energy Union
Local 5-4200
Miamisburg, Ohio

GRIFFON, Mark A.
President
Creative Pollution Solutions, Inc.
Salem, New Hampshire

MELIUS, James Malcom, M.D., Ph.D.
Director
New York State Laborers' Health and Safety Trust Fund
Albany, New York

MUNN, Wanda I.
Senior Nuclear Engineer (Retired)
Richland, Washington

OWENS, Charles Leon
President
Paper, Allied-Industrial, Chemical, and Energy Union
Local 5-550
Paducah, Kentucky

PRESLEY, Robert W.
Special Projects Engineer
BWXT Y12 National Security Complex
Clinton, Tennessee

ROESSLER, Genevieve S., Ph.D.
Professor Emeritus
University of Florida
Elysian, Minnesota

AGENDA SPEAKERS

Ms. Chris Ellison, NIOSH

Mr. David Sundin, NIOSH

Mr. Jeff Kotsch, DOL

Mr. Tom Rollow, DOE

Mr. Mark Griffon, Workgroup Chair

STAFF/VENDORS

CORI HOMER, Committee Management Specialist, NIOSH
STEVEN RAY GREEN, Certified Merit Court Reporter

AUDIENCE PARTICIPANTS

- NANCY ADAMS
- WILLIAM M. BECKNER
- DEBORAH BERKEY
- DENISE BROCK
- EVELYN COFFELT
- GERI L. DREILING
- CLARISSA EATON
- DONNA EHLMANN
- CHRIS ELLISON
- WILLIAM C. FRANSON
- DAVID GWEN
- CHRIS HADDOX
- RUSS HENSHAW
- LIZ HOMOKI-TITUS
- THOMAS M. HORGAN
- PATRICK KELLY
- JAMES KNUDSEN
- JEFF KOTSCH
- ROBERT LEIFIED
- CAROL LUEDDECKE
- HAROLD LUEDDECKE
- ROBERT MCGALERRA
- DANIEL W. MCKEEL
- LOUISE MCKEEL
- RICHARD MILLER
- CHERYL MONTGOMERY
- EDWARD MUECKE
- DAVID NAIMON
- STEVE POWELL
- LOUISE S. PRESLEY
- TOM ROLLOW
- D.M. SCHAEFFER
- SARA SHIPLEY
- BARBARA A. SMIDDY
- FLORENCE STROPER
- DELORES STUCKENSCHNEIDER
- DAVE SUNDIN
- STAN/ANN SZTUKOWSKI
- BOB TABOR
- BRIAN THOMAS
- PAMELA TODOROVICH
- RICHARD TOOHEY
- DAVID UTTERBACK

JIM WERNER
MARILYN ZIEMER

P R O C E E D I N G S

(9:00 a.m.)

REGISTRATION AND WELCOME

DR. ZIEMER: Good morning, everyone, and welcome to the 18th meeting of the Advisory Board on Radiation and Worker Health. We're all pleased to be here in St. Louis. I suppose there's a lot that can be said about St. Louis. I heard the weather man say this morning this was a bluesy day in St. Louis, and I thought well, that's appropriate, I suppose. But anyway, we're pleased to be here, even on a bluesy day in St. Louis. My name is Paul Ziemer. I'm Chair of the Advisory Board. I'm not going to actually introduce all the members of the Board, but for those who are here observing, members of the public, the Board members' names are on the placards in front of them, so you can identify who they are.

This morning we have one individual who will not be with us at the meeting. Dr. Henry Anderson is not able to be with us. Dr. Jim Melius will be joining us a little later in the morning, I understand is flying in

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

from overseas, actually, so will not arrive until a little bit later.

We ask that everyone present, including the Board members, register their attendance on the -- in the registration book which is back at the entrance. Also, members of the public who wish to make comment during the public comment period, we ask that you please sign up on the sheets in the back -- there's a sign-up book or sign-up sheets -- so that we have some idea of how many wish to address the Board and can allot the time accordingly.

There may be a number of other members of the public join us a little bit later. I'm going to make some comments now in terms of the public comment period, but I may have to repeat these comments later, as well. Those are as follows: First of all, to remind members of the public that this is an opportunity for you, as a public member, to comment on the program, the policies, concerns you might have relative to this particular compensation program. It is not really a time to ask questions about personal claims. If you have questions about personal claims, timing or issues about where

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

your claim is in the process, we ask you to do that privately with the NIOSH staff.

Also I would like to point out that the format for the public comment period is really not intended to be a question and answer period. Rather it's simply a time where members of the public can place on the public record their comments. If you do wish to raise questions, particularly if there are a lot of people at that point present, you may wish to raise those questions, but we would then defer answering them -- if you do have questions, something about the program, we may have to get back to you with an answer at a later time in order to preserve time for all who may wish to speak.

A couple of other pieces of information for you today.

On the table here near the front there are copies of minutes and other information from past meetings, and we invite you to make use of those as you may wish. You may also notice that there's a television camera present here today. The Village Image News, I believe is the correct title of the group that's televising. That's a local public access group here. They've asked

1 for and been given permission to televise the
2 proceedings here. And I just want to tell the Board
3 members not to get your hopes up that this will be a
4 new reality TV show of some sort. This is simply for
5 public access information.

6 With those comments, I'm going to invite Larry Elliott
7 to make any additional comments he may wish, and then
8 we will proceed with the agenda.

9 **MR. ELLIOTT:** Thank you, Dr. Ziemer. I'd like to
10 welcome the Board to St. Louis on behalf of the
11 Secretary of Department of Health and Human Services
12 and the Director of NIOSH, Dr. Howard. I'd like to
13 welcome the public to the Advisory Board meeting. It's
14 the first time we've been in St. Louis and we -- the
15 Board does go around the country and hold its meetings,
16 and we're pleased to be here to share this Board
17 meeting with the public here in St. Louis.

18 We have a full agenda. Today you're going to hear
19 status on the dose reconstruction program at NIOSH, as
20 well as status on the overall program from the
21 Department of Labor, as well as status on the
22 Department of Energy's responsibilities under the

1 program. We also will have time on the agenda for the
2 working groups that have been assembled to speak and
3 provide reports on their various activities on
4 developing task orders for your contractor to perform
5 the audit that you are required to do of our dose
6 reconstructions, as well as we have a closed session
7 tomorrow afternoon -- which will be closed to the
8 public -- to discuss the independent government cost
9 estimates that have to be generated regarding those
10 task orders.

11 I would remind the Board of your responsibilities to
12 recuse yourself, given your individual waivers. We
13 have recently gone through a new waiver process and so
14 many of you have new waiver letters. And as we proceed
15 into the review of dose reconstructions, I would remind
16 you of those obligations.

17 Dr. Ziemer announced that we do have a video being
18 taken of this meeting. I need to make sure that
19 everyone understands that NIOSH will not be able to
20 provide copies of that video because it's not -- we're
21 not conducting the taping and it's not our
22 responsibility to provide tapes from that.

1 We hope that you find this meeting informative and
2 productive. And again, welcome to St. Louis.

3 **REVIEW AND APPROVAL OF DRAFT MINUTES - MEETING 17**

4 **DR. ZIEMER:** Thank you very much, Larry. We're going
5 to now proceed with the agenda. Our first item on the
6 agenda is the review and approval of the draft minutes
7 of meeting seventeen. Let me make several comments.
8 First of all, these minutes are quite extensive. The
9 total number of pages is 54. Some of you only received
10 these minutes last night. Some only received them this
11 morning. Some have not yet received them; that is,
12 those who have not yet arrived. Therefore I'm going to
13 rule that we will actually not act on these minutes
14 today. But I do want to make a couple of comments
15 relative to the minutes.

16 First of all, if you would, please read them and
17 indicate any technical information that you believe is
18 incorrect, and you can let Cori know that. Actually
19 I'm going to be going through the minutes in much
20 detail myself because I -- I actually would like them
21 to be consolidated to a shorter version, and I'm going
22 to work with Ray, who's helped us with the minutes --

1 our recorder -- to come up with a more abbreviated
2 version of these, if we are able to. I do appreciate
3 the effort that Ray's made to get us a good set of
4 minutes, but I've already indicated to Ray that I feel
5 that they're too long. We have the transcript
6 available, and so I'd like to get these shortened up a
7 little bit. But in the meantime, if you would indicate
8 to us any technical information that you feel needs to
9 be corrected, let Cori know or you can let me know, or
10 both. And then I will work with Ray -- it may be that
11 Ray has already made these as concise as can be done.
12 This is two days worth of deliberations and we had a
13 lot of discussion in that last meeting, so that's all
14 here. It also is in the transcripts, however, and
15 there may be cases where we can shorten up the minutes
16 simply for efficiency's sake.

17 I will, however, ask tomorrow that we approve these, at
18 least in principle, with the understanding that we may
19 wish to prepare an abbreviated version, as well, for
20 the final copy.

21 Is there any objection to proceeding on that basis?

22 (No responses)

1 **DR. ZIEMER:** There appears to be no objection, so it
2 will be so ordered.

3 **CLAIMANT COMMUNICATION**

4 **DR. ZIEMER:** We're going to move ahead then and Chris
5 Ellison from NIOSH is here with us today. She's going
6 to discuss with us the communication process that the
7 Agency has with claimants, go through that in some
8 detail so that we understand exactly what the
9 interchange/exchange is between the Agency and the
10 claimants. That -- when I say the Agency, I think
11 we're also including the work that the contractor does
12 in assisting in that regard.

13 So Chris, we're pleased to have you here this morning.

14 Please proceed.

15 **MS. ELLISON:** Thank you. Good morning.

16 **DR. ZIEMER:** You may need to snap that -- there's an
17 on/off switch on that hand mike, please -- or that
18 lavalierere mike.

19 **MS. ELLISON:** Good morning. As Dr. Ziemer has said,
20 that here -- I'm -- this morning to give you a current
21 overview of some of our activities in approaching the
22 claimants and getting information to them about their

1 claims and the program itself.

2 Primarily we do this through four major ways. We have
3 the phone calls that we get from the claimants.
4 According to our database this month of October, we've
5 logged in over 7,000 phone calls. Now those phone
6 calls do include a number of things. Of the phone
7 calls that have been logged in include inquiries as to
8 the status of the claims. It also includes information
9 on the closeout interviews, scheduling of the telephone
10 interviews, all contacts that have been made with
11 claimants on those basis have been logged into the
12 system.

13 And if I separate it out just a little bit, roughly so
14 far in the month of October we've handled a little over
15 1,000 inquiries as to the status of claims. So that --
16 that -- currently the activity with the phone calls.
17 Another way that we provide information to claimants is
18 through e-mails. During the month of October we've
19 received roughly 118 e-mails. And they do vary --
20 phone calls and e-mails vary quite a bit, depending
21 upon activities that have occurred in the media and
22 other things with -- that are occurring on the web

1 site.

2 Which is also the third piece of information that we
3 have available for the claimants to gain information on
4 our program. And we do try to update the web site as
5 frequently as we can with the most current information.

6 I know that I updated the web site this past Friday to
7 include the newly-approved side profiles for
8 Mallinckrodt and Blockson Chemical, so those are the
9 two new features that appeared on our web site.

10 And the last major piece that we do use with
11 communicating information to the claimants are the
12 letters, and there are a variety of letters. And after
13 I go through these, I will show you some of the new
14 things that we have developed. But all of our letters
15 that we currently have in use cover the major stages of
16 the dose reconstruction program, and trying to keep
17 claimants up to date with where their claim is
18 according to those major stages.

19 The first one that the -- letter that the claimant does
20 receive is what we call an acknowledgement letter. The
21 letter basically tells the claimant that we've received
22 their case from the Department of Labor. There are

1 some details in this letter that tell the claimant why
2 their case was sent to NIOSH. We give some information
3 on what dose reconstruction is, and at that time we
4 also assign the tracking number to the claimant and
5 tell them what that is so that they can call in and
6 provide us with that tracking number. It's a way to
7 follow the claim through the system and things. And
8 then when we send that acknowledgement letter to the
9 claimant, we also enclose three things. There's a
10 brochure that is sent to them which is general
11 information on the program, a little on NIOSH, and also
12 the compensation program. There's also a fact sheet
13 that is sent to them with this letter which describes
14 in detail some of the information about the dose
15 reconstruction process, what is required and what all
16 it entails. And then the last thing that currently is
17 being sent with the acknowledgement letter is a magnet,
18 and the magnet we hope -- there's a space on there for
19 them to write their tracking number and it has all the
20 contact information and various telephone numbers and
21 e-mail information and the web site, so hoping that
22 they'll keep that close to their refrigerator so they

1 can give us that tracking number when they ever -- if
2 they should happen to call.

3 After the acknowledgement letter is sent, the next
4 major step in the dose reconstruction program is the
5 telephone interview. And we do send them a letter
6 ahead of time with that -- regarding that interview.
7 One of the things that we do include in that letter are
8 the -- a copy of the questions. We want people to know
9 ahead of time what to expect from that telephone
10 interview. And one of the things that is conveyed in
11 the letter and then on the cover sheet of those
12 questions, we do convey a question that -- when we
13 remind people that participation in this telephone
14 interview is voluntary, and we are aware of the fact
15 that even though some individuals may not be able to
16 answer all of the questions, we encourage them to
17 participate in the telephone interview because any
18 information they do provide can be of some benefit
19 potentially for that dose reconstruction. And that is
20 pointed out in the attachment with the questions and
21 things.

22 The next letter after the telephone interview, the next

1 major step or item to occur in the dose reconstruction
2 process, is the summary report. After they've had that
3 telephone interview the claimant will receive a copy of
4 a summary report so that, for the record, they see what
5 is going to be submitted into their claim. And during
6 that, when we provide them with that summary report, we
7 do ask that they review it and provide us with any
8 comments or corrections that they may have so that we
9 can fully envelope everything that was discussed in
10 that telephone interview in that summary report.

11 The next major piece of communication in the letters
12 that we send is what we call the dose reconstruction
13 introduction letter. Basically at this point, this
14 letter is sent out when we have obtained enough
15 exposure information and are ready to proceed with the
16 actual dose reconstruction. Something that is
17 mentioned in this letter -- there is some discussion in
18 the letter about the conflict of interest and how that
19 is dealt with when assigning the dose reconstructions.

20 And attached with the letter is the list of potential
21 individuals and their affiliations so that people can
22 review the list and see who potentially can be assigned

1 to work on the dose reconstruction for their case.
2 And the next thing then that we send is a letter
3 regarding the draft dose reconstruction. Enclosed with
4 that letter will also be the draft reconstruction
5 report, and with this letter we also send what we call
6 the OCAS-1 form, and there are instructions in the
7 letter to sign and return the form. There's also
8 information in this letter about the closeout interview
9 that will be scheduled, because once the draft
10 reconstruction is sent to the individual, we then
11 conduct a closeout interview with each individual so
12 that then it's -- the purpose is to explain the draft
13 dose reconstruction report, answer any questions that
14 they may have at that time as to what was done in the
15 dose reconstruction.

16 The last major letter that we receive is sent out only
17 after we have completed the dose reconstruction stages.

18 That means after that OCAS-1 has been received back to
19 us, then we can finalize that dose reconstruction. And
20 once the administrative record and the final dose
21 reconstruction is sent back to the Department of Labor,
22 at that time the claimant also receives a letter to let

1 them know that.

2 So those are -- those are the primary stages of our
3 dose reconstruction and the core letters that go out to
4 represent those stages.

5 One of the things, listening to the phone calls and
6 trying to get people to understand the program, and
7 because of those facts we've now added -- or the -- the
8 two new communication pieces to our program.

9 The first one is a flow chart. It basically explains
10 and shows the claimant what to expect during the dose
11 reconstruction process. On the brochure that we sent
12 the claimants there's a list-by-list item of the major
13 steps of the dose reconstruction program. This has
14 been provided as a visual and I'll go into more detail
15 about it in a minute.

16 And the second new piece that we've developed for
17 claimants is an activity report, and this is in primary
18 response to the multiple questions that we get as to
19 the -- you know, when they are inquiring about the
20 status of their claim. We know that that is a major
21 concern for the claimants, so the activity report has
22 been developed to address those questions.

1 Here's the flow chart. And I know -- and for the
2 Advisory Board, in the back of my presentation is a
3 full-blown page of this so you don't have to try to
4 look at it on the little printouts there. What's been
5 developed with this flow chart is -- there's two things
6 going on in the flow chart. The right side shows what
7 NIOSH is responsible for in the dose reconstruction
8 process. It kind of -- it shows the major stages and
9 the major steps. And then the left side, in the gray
10 box, shows the claimant what they will receive from us.
11 And they're kind of -- the items are lined up
12 according to the process on the right. It's a little
13 bit of a visual, little bit different than numbering it
14 one, two, three, four, some -- it's a two-fold thing.
15 Some people can understand steps better. Some people
16 want to see it visually. And this is available
17 currently on our web site.
18 And the other piece that we've newly developed is, like
19 I've said before, the activity report. But of the
20 current plans with the activity report, that it will be
21 mailed out quarterly, and that will be in the month of
22 January, April, July and October. The contents are two

1 -- the activity report will be divided primarily into
2 two different areas. The first will be an area for the
3 status report and the second will be program
4 information.

5 Now we've currently been in the process of -- these --
6 what -- we've divided these two pieces out into two
7 mailings. The current status of this mailing we want
8 to include in a cover letter. We couldn't just send
9 the flow chart to individuals and expect them to
10 understand what we had done, so we basically wanted to
11 make sure -- we're trying to give each claimant the
12 right to understand the process, so we went back
13 through and mailed the flow chart to all of our current
14 claimants, and that has been completed. But the cover
15 letter basically said here is a flow chart to help you
16 better understand the process. And in that letter we
17 also explained that we would be sending out activity
18 reports.

19 Now the activity reports are in the process now of
20 being printed and we're preparing to begin mailing them
21 out this week. Specific information that's found in
22 that activity report, they will see the exact status of

1 their case. They will -- in that activity report will
2 appear everything they should hear that -- if they
3 would call us or receive an e-mail, information as to
4 when we receive their case will be on there, when an
5 acknowledgement letter was sent to them and all of the
6 basic stages. Therefore, from that activity report, a
7 claimant can see what stages have occurred with the
8 dose reconstruction that their claim has been in and
9 what stages then are left. And also I'd like to
10 mention, with that activity report we will plan to mail
11 that out to the claimants until they receive a copy of
12 their draft dose reconstruction.

13 And both of these mailings -- each time -- right now in
14 the system we have just slightly under 20,000 claimants
15 that we will be sending this to. And when I say
16 claimants, that includes Energy employees, survivors
17 and authorized representatives.

18 And that's basically where we are. Are there any
19 questions?

20 **DR. ZIEMER:** Thank you very much, Chris. Let's open
21 the floor for questions at this point. Gen Roessler.

22 **DR. ROESSLER:** Chris, the web site is very good and

1 very up-to-date and very complete. But my question is,
2 I'm wondering how -- can you determine or is there any
3 measure for determining how effective it is? I know
4 you can do hits and things like that, but I'm just
5 wondering how many of the claimants or potential
6 claimants or other interested individuals are actually
7 going to the web site for the information.

8 **MS. ELLISON:** We do monitor hits. And to be quite
9 honest, I know we're -- we're changing systems and how
10 they're tracking the hits, and I have not received a
11 report in some time because they're setting up a new
12 way of looking at that. We do receive occasional e-
13 mails from individuals regarding the web site. To be
14 quite honest, most comments have been favorable.
15 There's a vast amount of information available on the
16 web site, and we've tried to gear it to an -- you know,
17 two types of individuals. There's some information
18 that's there that's strictly only pertinent for
19 claimants. There's some information that's for a more
20 technical audience. You know, the Department of Labor
21 and Department of Energy also use our web site. And
22 it's difficult, but you know -- but right now primarily

1 the only input we have are e-mails we receive, or phone
2 calls. And they do occur minimal. Most of the phone
3 calls are wanting to know when the Advisory Board's
4 going to meet -- I know any time there's an Advisory
5 Board meeting, I get questions about when -- when is
6 the agenda going to be posted and things -- and
7 location. Usually people are a little impatient on
8 that. But other than that, primarily things are pretty
9 low. We don't hear too much about the web site.

10 **DR. ZIEMER:** Roy DeHart.

11 **DR. DEHART:** This is a dynamic process occurring over
12 time and repeated. And having been involved in similar
13 sorts of situations -- not with these numbers, but --
14 how many dead letters are you receiving? In other
15 words, letters that are returned to you because the
16 address is incorrect. And if you are getting those,
17 how do you try to identify or find the people?

18 **MS. ELLISON:** I do know I -- the exact numbers of
19 returned mail I cannot answer. If we do receive a
20 response or a letter back undelivered, we do try to
21 contact the Department of Labor and there are issues
22 that we're trying to work out with that. Recently we

1 had tried to put a return address correction on our
2 envelopes, but I think that's going to have to stop,
3 but...

4 **DR. ZIEMER:** Chris, has there been any confusion
5 amongst the claimants in terms of the letterhead and
6 who the letters come from? As I look at these I see
7 the identity of ORAU, Dade Moeller Associates and MJW.

8 **MS. ELLISON:** Uh-huh.

9 **DR. ZIEMER:** There's very little NIOSH identity in the
10 letter. Does that cause any confusion amongst the
11 claimants as to what the -- who -- who's sending this
12 and why?

13 **MS. ELLISON:** So far it does not appear to. In the
14 acknowledgement letter there is some detail about
15 contact information. And at NIOSH we do have public
16 health advisors that are assigned to the claims, and
17 those primary core letters, when they come from NIOSH,
18 are signed by that public health advisor. And always,
19 from that acknowledgement letter on, they are mentioned
20 that they also can contact our contractor for status
21 updates and things. And so it is mentioned from day
22 one, that they -- when they receive letters from us, of

1 the existence of the contractor. And so far, there's
2 not been any confusion. I know usually in the letters
3 that ORAU does send out, they state that they are
4 assisting NIOSH with the dose reconstruction program,
5 so -- but not to my knowledge.

6 **DR. ZIEMER:** Right. I just want to make sure that
7 people actually read the letter --

8 **MS. ELLISON:** Right.

9 **DR. ZIEMER:** -- and identify that that's the case.
10 Otherwise -- it is a form letter, after all, and I get
11 a lot of form letters and I look at who they're from
12 and -- before I pitch them. Sometimes I look at who
13 they're from before I pitch them.

14 **MS. ELLISON:** Right.

15 **DR. ZIEMER:** And I just want to make sure that people
16 who think they're in the NIOSH/Department of Labor
17 program actually are fully aware of the role of the
18 contractors and that there's no confusion there.

19 **MS. ELLISON:** Right.

20 **MR. ELLIOTT:** If I could remark upon that question --
21 it's a very good question, and we attempted to
22 anticipate fully the confusion that letters are going

1 to generate when the letters come from different
2 entities. The first letter that Chris talked about,
3 the acknowledgement letter, is on NIOSH letterhead and
4 it, as she says, introduces the ORAU team and indicates
5 that -- and maybe the next letter also is a NIOSH
6 letter, I need to get my mind straight on that -- it is
7 a NIOSH letter.

8 **MS. ELLISON:** Huh-uh.

9 **MR. ELLIOTT:** It's not a -- well, anyway, each letter
10 in succession introduces who's going to communicate
11 with the claimant next, which -- whether it be NIOSH or
12 whether it'll be ORAU. And so we try to make sure that
13 in those letters, you know, the claimant understands
14 what's the next step and who they're going to be
15 talking to in the next step.

16 And I agree with Chris, I don't think we've heard much
17 concern or -- or confusion about that. We need to get
18 on top of also the number of dead letters. We're
19 working on that end, as well. And right now, with dose
20 reconstructions becoming finalized, it's even more
21 important to us to be able to find those people. We've
22 got perhaps like 50 I think that we are -- we're

1 tracking down now at the dose -- final dose
2 reconstruction stage, just to try to get their decision
3 to them. And so this is an important piece, so I
4 appreciate those questions and we are working on them.

5 **DR. ZIEMER:** Thank you. Then it appears this has not
6 been an issue at all. If it becomes an issue, then
7 you'd have to say okay, maybe there needs to be some
8 identification such as these -- on behalf of NIOSH or
9 something in the letter to clarify that. But if it's
10 not, I don't want to solve a problem that doesn't
11 exist. Actually, I do. University professors like to
12 solve a lot of problems that don't exist, but -- what
13 other questions do we have?

14 (No responses)

15 **DR. ZIEMER:** There appear to be no further questions.
16 Thank you very much, Chris. We appreciate --

17 **MS. ELLISON:** Thank you.

18 **DR. ZIEMER:** -- your update on that. Now we're ready
19 for our regular program status report. Dave Sundin is
20 -- is Dave going to give the report or Jim? I see --
21 oh, you're -- Jim is the helper. Okay, Dave, welcome
22 back and we're anxious to hear the update.

1 claims. And as Chris very ably described, we begin our
2 communication process with claimants at that point by
3 starting them out with acknowledgement letters, and
4 continue to attempt to keep them posted about what
5 we're doing with their claim.

6 Also importantly, and again, I've mentioned it before
7 but I think it's important to keep in mind, one of the
8 important things we do is to scan all claim documents
9 when we receive them so that we have an electronic file
10 to deal with, which is really absolutely essential as
11 we get dose reconstructionists throughout the country
12 opening these files and beginning to do their work. So
13 there is that step in the process which has so far
14 served us well.

15 About 16 percent of our cases continue to involve AWE
16 employment or Atomic Weapons Employers. That's
17 important because, with few exceptions, we don't have
18 as many points of contact to go to to get actual
19 personal exposure information on employees that worked
20 at Atomic Weapons Employers. There are about four I
21 think points of contacts from AWEs where we actually
22 are able to get some personal exposure information.

1 There's a smaller number of cases in process, at the
2 bottom of this slide, 13,500, and I believe that's the
3 number that you will see on our web site when you look
4 for claim status. The reason that's less than the
5 number of cases received is that we subtract out, for
6 purposes of reporting cases in process, those claims
7 which have been returned to Department of Labor as
8 complete, and also those cases the DOL has asked that
9 we pull. There's not a large number of pulled cases,
10 but some cases come to us that we shouldn't have, and
11 when DOL recognizes that, they ask that the case be
12 returned to us.

13 This is a time trend chart of the rate at which we are
14 receiving cases from Department of Labor. And as the
15 chart shows -- and you've seen this before; I've just
16 updated it with the first quarter of fiscal '04 number
17 to date -- the trend is generally downward since about
18 second quarter -- or fourth quarter of fiscal '02,
19 where we received over 2,700 cases. We're now getting
20 cases referred to us at around 200 a month, and
21 declining slightly.

22 Of course, as you're aware, each -- Department of Labor

1 has done their work on the case before we receive it.
2 They've developed the verified employment and the types
3 of cancers that the Energy employee had. And at that
4 point we do generate requests to the appropriate
5 Department of Energy point of contact to request the
6 personal exposure information. And for a significant
7 number of the claims, the -- there are multiple
8 employment sites. The Energy employee, for example,
9 worked at several different sites, so we need to
10 generate several different requests for exposure
11 information.

12 This shows you where we are with our requests for
13 exposure information. The reason that the number of
14 responses received, 20,000-some, exceeds the number of
15 requests that we've sent, which is right at 16,500, is
16 -- really there's two reasons. One is that, as I
17 mentioned, Energy employees can work at multiple sites.

18 And the other reason is that some sites are sending
19 their responses in several separate packages. For
20 example, some sites will send us the X-ray -- the
21 diagnostic X-ray information as one response, and then
22 the personal exposure radiologic information as a

1 second response.

2 I think if you remember -- which you probably don't --
3 the slide from our presentation in August, there's been
4 improvement across the board, really, in the
5 responsiveness of the Department of Energy points of
6 contact. The total percentage of outstanding requests
7 that are 60 days older or more is now eight percent.
8 It was actually 12 percent in August. So we continue
9 to see improvement in the timeliness of the DOE
10 responses.

11 There are of course a significant number, really --
12 730-some -- that are 150 days or more outstanding, and
13 I'll explain that in the next slide.

14 This shows the top eight sites from which we request
15 exposure information, and you'll notice that five of
16 these sites have a 90 percent or better response rate
17 within the 60-day period. So really the major sites
18 are doing quite well. There are a couple of sites that
19 we're still working with, with the help of DOE's Office
20 of Worker Advocacy.

21 Savannah River Site has a significant number of
22 responses that are at 150 days or more, as does INEEL.

1 And really those two numbers make up the bulk of that
2 700-some that were 150 days or older.

3 The quality of the responses we're getting from
4 Savannah River Site is quite good. It's just that they
5 started a little later than some of the other sites to
6 get the machinery up and running to get the information
7 to us.

8 Idaho, I believe I explained last time, has spent a
9 significant amount of front-end work in indexing their
10 records in a way that they can now begin to provide the
11 information to us more efficiently. And that process
12 is complete and we're starting to see some -- much
13 better response from Idaho.

14 We do continue to send periodic status reports to each
15 of the DOE points of contact to list out actually very
16 specifically the cases that we show on our books as
17 being 60 days or more outstanding. It's sort of a
18 check with them to make sure that they know of the --
19 they have the same list of cases that are overdue. So
20 we do that every month as our goal. We -- there's
21 certainly periodic reports that go out.

22 And of course outside of this effort is a rather large

1 parallel effort to compile site-specific profile
2 information to develop the Technical Basis Documents
3 that then go into making up a site profile, which is a
4 very essential piece of information to do dose
5 reconstructions at a particular site. And there are 15
6 teams that are working on completing site profiles.
7 Dr. Neton will give you more information on that during
8 his presentation.

9 The telephone interviews that we offer each claimant
10 are an important part of our dose reconstruction
11 process. Interviews were not required under the
12 statute, but they were built into the NIOSH process
13 because we believe that it's important that we
14 communicate with claimants and allow them to give us
15 what information they can to help us do the dose
16 reconstruction.

17 ORAU continues to do a very good job and make
18 impressive progress in completing interviews with
19 claimants. And again, it's not always easy to locate
20 people to establish -- to set up a time and a date for
21 the interview, but whereas in August we were reporting
22 something around 6,000 completed interviews, we're now

1 approaching 10,000 a couple of months later. So this
2 group has been very effective at getting these
3 interviews done.

4 And then of course the next step, as Chris mentioned,
5 is to send a summary report to the claimants and make
6 sure that we got the interview recorded properly. The
7 claimant has an opportunity to add to that or correct
8 information, and then of course we send them a
9 corrected report if that's necessary.

10 The group at ORAU that's doing the telephone interviews
11 will also be conducting the closeout interviews very
12 soon. That is the interviews that are done after the
13 claimant has received their draft dose reconstruction
14 report. So given the success that this group has had
15 with doing the first interviews, we expect that this is
16 a -- this will also be a success in terms of conducting
17 what we call the closeout interview.

18 Well, now the bottom line. When I reported to you in
19 August, the number of final dose reconstructions that
20 had been sent to the -- back to the Department of Labor
21 and also to claimants and DOE was around 350. As of
22 yesterday morning sometime, we've got roughly 1,000

1 draft dose reconstruction reports that are out to
2 claimants or have actually come back from claimants and
3 are over to DOL.

4 So we've made some progress. We've got a long ways to
5 go, but I think the hard work that we've put in and our
6 contractor's put in is beginning to pay off.

7 There are I believe around 32 Mallinckrodt claimants
8 that are represented in either claims that have
9 received their draft or have gone over to Department of
10 Labor, and I think maybe around three -- rough numbers,
11 and again, it changes daily -- three Mallinckrodt
12 claims I believe are back at Department of Labor by
13 now.

14 The early break-out here is around -- approaching 40
15 percent of the claims we've returned have a probability
16 of causation of 50 percent or greater. We realize
17 that's probably a percentage that will change and
18 likely in fact go down as we work more of the tougher
19 cases. But that's the rough indication.

20 I thought I'd show you a very rough profile of the
21 types of cancers that are represented in our claimant
22 population. And I should say there's plenty of caveats

1 to over-interpreting this list. But what I've done
2 here is, first of all, removed the gaseous -- the SEC
3 sites, because the cancer profile in those sites tends
4 to be, in general, different than the rest because if a
5 claimant had an SE-- a specified cancer, they're --
6 they will be coming to us only to reconstruct the non-
7 specified -- dose for a non-specified cancer or a -- so
8 I couldn't figure out exactly how to integrate those.
9 I took those out, so this does not include those sites.
10 Also bear in mind that claimants can have multiple
11 cancers, so that's why we've got 20,400 total cancers
12 represented among 14,500 claimants. Also this is only
13 primary cancers. I've not attempted to profile
14 secondary cancers here. In general, secondary cancers
15 come into play in our system only if a primary is
16 unknown, so while a lot of people have secondary
17 cancers arising from an identified primary, if the
18 primary is known, that's of course what we do the dose
19 reconstruction on.

20 But as you can see, skin -- non-melanoma skin cancer
21 predominates in terms of frequency, and that includes
22 both basal cell carcinoma a squamous cell carcinoma,

1 for which there are in fact two different models in
2 IREP, but for purposes of just descriptive statistics,
3 I've lumped them here.

4 Next is the all male genitalia. That's the grouping of
5 ICD-9 codes that makes up the IREP model, which
6 includes primarily prostate cancer. There would be a
7 few other cancers in there, but the vast majority of
8 that second category are prostate cancers.

9 Lung is also up there pretty high, and then as you go
10 down the list, you see how the others array themselves.
11 Even though of course the literature demonstrates that
12 certain cancers are more apt to be related to
13 radiation, I would caution against sort of over-
14 interpreting anything here because, in the case of
15 multiple cancers, of course there may be one cancer
16 which may be significantly more radio-sensitive than
17 others. So -- and certainly the uncertainty in the
18 individual claimant characteristics have an important
19 part in the whole dose reconstruction and probability
20 of causation. That's just a crude look and something
21 I've been curious about and thought you might be
22 interested in.

1 In terms of recent accomplishments, NIOSH has a role
2 under the statute to appoint physician panels to assist
3 the Department of Energy in implementing their
4 responsibilities under Subtitle D. We have appointed
5 123 physicians to date. And based on DOE's request
6 that we identify more and appoint more physicians, we
7 have initiated another recruitment effort. We're now
8 looking at approximately 85 CVs from physicians that
9 have expressed an interest in serving and will add any
10 and will appoint any that are highly qualified from
11 among that group.

12 I mentioned briefly the site profile teams that are
13 staffed up and developing data. And we now have I
14 believe four site profile documents that are out on our
15 web site. Bethlehem Steel has been there for a while.

16 Savannah River Site, Blockson Chemical and, most
17 recently, the Mallinckrodt Technical Basis Document is
18 available on the web.

19 The residual contamination final report has been
20 drafted and is in review. And of course as you -- as
21 this group knows, the contract for supporting your
22 effort to evaluate the completed dose reconstructions

1 has been awarded.

2 So I think I'll stop there, and if there are questions,
3 I'll try and answer them.

4 **DR. ZIEMER:** Thank you, David. Let's have questions
5 now. First Roy.

6 **DR. DEHART:** David, could we go back to the cancer
7 types? Maybe if you can just flip that chart back.
8 The reason I mention that, as perhaps you're aware, I'm
9 doing some of these medical reviews in Subtitle D. And
10 the medical information that we see frequently is mis-
11 diagnosed. It's called one thing and the medical
12 record supports something else. Dealing with the
13 cancer types, as you've pointed out, the code -- the
14 medical coding of those are critical in determining how
15 you're going to calculate the dose, et cetera. Is
16 there a problem -- are you doing that or is it coming
17 out of Department of Labor? Who's -- who's assuring
18 that the diagnosis and the code that's being used is
19 accurate?

20 **MR. SUNDIN:** Well, it is Department of Labor's
21 responsibility to ensure that the cancer is -- or that
22 the disease is a covered condition and that it's

1 supported by the right kind of medical -- by credible
2 medical evidence. So Department of Labor does review
3 the medical evidence provided by the claimant and they
4 assign the ICD code. I mean if we notice errors, or
5 what we think are errors, in that assignment, we will
6 communicate back to Department of Labor and ask them to
7 clarify or to review the case. But that code is
8 assigned by Department of Labor.

9 **DR. DEHART:** And the response of Labor to a potential
10 mis-coding is what? Is it positive? Do they -- is it
11 positive, do they -- do they go back and look and
12 change?

13 **MR. SUNDIN:** They go back and look, and if it needs to
14 be changed, they change it. There are occasions where
15 what may appear to be an error to us is not. So it
16 goes either way, but they're quite willing to go back
17 and review if something looks, on the surface, to be an
18 error.

19 **DR. DEHART:** Okay. I can think of a common problem.
20 That would be metastatic disease to the lung.

21 **MR. SUNDIN:** Yes.

22 **DR. DEHART:** And instead of -- it might be diagnosed as

1 a primary lung.

2 **MR. SUNDIN:** Right. Well, as you probably well know,
3 metastatic cancer doesn't mean that it was a secondary
4 cancer. It sometimes -- that terminology is used to
5 describe a primary cancer that metastasized, so yeah,
6 that's why, looking at the pathology reports, all the
7 underlying medical records, is very important. And DOL
8 has a -- you know, an extensive procedure manual to
9 describe what information is most credible to establish
10 the diagnosed condition.

11 **DR. ZIEMER:** Robert?

12 **MR. PRESLEY:** Robert Presley. Dave, the report for
13 residual contamination in draft, is that available for
14 our consumption?

15 **MR. SUNDIN:** It will be when it's released to Congress,
16 but not until then. I'm not even exactly sure at what
17 stage of review it is. I know it's out of NIOSH, so --
18 but there could be changes that would be made. So at
19 the time that it is sent to Congress, it will be made
20 available to the Board.

21 **DR. ZIEMER:** Mike Gibson?

22 **MR. GIBSON:** (Off microphone) The site profile teams --

1 **DR. ZIEMER:** Use the mike there, please, Mike.

2 **MR. GIBSON:** The site profile teams that are staffed
3 and developed, personally I feel like we really haven't
4 had much information on how that was developed and how
5 those teams were formed. And you know, that's a very
6 critical step in assuring that you're getting adequate
7 information to do dose reconstruction. Could you go
8 into more detail about that?

9 **MR. SUNDIN:** Well, I think probably I will defer a
10 detailed discussion on that to Dr. Neton's
11 presentation. I believe he intends to cover not only
12 the progress, but how the teams are put together and so
13 forth.

14 **DR. ZIEMER:** Is that agreeable, Mike, and Jim's going
15 to discuss the site profile process, so --

16 **MR. GIBSON:** Yes, that's fine.

17 **DR. ZIEMER:** Okay. Thank you.

18 **MR. SUNDIN:** Since that is an agenda item, I think I --
19 recommend that, anyway. That's tomorrow morning, Mike.

20 **DR. ZIEMER:** Be sure, though, that that question gets
21 answered, Mike, tomorrow.

22 Could you give us some idea of what the time commitment

1 is to a physician on the physician panel? I'm just
2 curious, what -- maybe Roy can answer that better
3 than...

4 **DR. DEHART:** I think most of you know that I sit on the
5 Subtitle D panel, as appointed by NIOSH in support of
6 DOL. The average case that we currently are seeing --
7 I'm sorry? You can't hear me?

8 **DR. ZIEMER:** Just get close.

9 **DR. DEHART:** It's on now, isn't it? The average case
10 that I'm seeing will run between 400 and 600 pages.
11 Those pages include sort of a site profile. And in
12 fact, I was talking to Mark, I just had reviewed a case
13 that was from a gaseous diffusion plant and his report
14 on the gaseous diffusion plant's risk was included in
15 there. So if we're looking at Y-12 or K-25 or Savannah
16 River, we have a description of those case, and that'll
17 run 100 pages. And then the medical records and all
18 are reviewed. I average something on the order of four
19 to six hours per case. Some of the cases may run far
20 less.

21 For example, I've had two cases that had absolutely no
22 medical records. The claimant had not been able to

1 provide any medical records, or chose not to provide
2 them, and that made the case review very simple.

3 **MR. SUNDIN:** I know, just to add to that, that's
4 useful, to get the inside view. DOL -- DOE has
5 indicated that they're extremely interested in
6 identifying physicians that are able to devote as many
7 hours as possible. A lot of physicians, of course,
8 have got other duties, or even perhaps active
9 practices, so it's difficult to squeeze as many hours
10 out of some of these people as DOE would like. So our
11 latest recruitment announcement emphasized that we were
12 particularly interested in hearing from physicians that
13 may be able to work full time, even -- a retired
14 physician, who had a recent -- you know, may be
15 recently retired, had an active clinical practice and
16 could be otherwise qualified would be extremely useful
17 to DOE. And as a matter of fact, there's been a few
18 physicians identified that are willing to work full
19 time, willing to relocate to DO-- to Washington for a
20 short tour of duty to sort of sit down in one
21 contiguous space and just go through a number of cases.
22 So it is a significant time commitment that conflicts

1 with other duties, so we're interested in -- if you
2 know other physicians --

3 **DR. DEHART:** One other point I think that would be
4 appropriate is that each case is reviewed by three
5 separate physicians, and they either meet by phone or
6 e-mail and determine whether they're in concurrence or
7 not. A minority report can be filed, so you've got --
8 you have three physicians looking at each record.

9 **DR. ZIEMER:** So there really is a significant time
10 commitment involved there. Okay. Thank you.

11 Dave, I wonder if you might also give us a sort of
12 interpretation of your third slide, which had to do
13 with the cases received. That's the bar graph on cases
14 received by quarter. And the clear peak there at the
15 fourth quarter of last year and -- does this mean that
16 the bulk of the cases have now been submitted, or does
17 this mean that the word got out there initially -- I'm
18 just trying to understand what the implication here is,
19 for example, in terms of projected number of cases,
20 total, that we'll have down the road. Do we expect an
21 upsurge again later? How do we understand this bar
22 graph?

1 **MR. SUNDIN:** Well, I'm not sure that I would do any
2 long-range trend projection based on this, but I -- and
3 this may also be an interesting question to raise of
4 Pete Turcic or the DOL representative because they are
5 sort of sitting on cases that are undergoing
6 development that may or may not come to us. So they,
7 in a sense, have a better picture of the potential
8 additional cases. And of course they are involved with
9 the traveling resource centers that go out and reach
10 out to potentially new claimants.

11 But I do know that NIOSH was not, in a sense, open for
12 business until we had promulgated our rule on dose
13 reconstruction, even though it was issued as an interim
14 final rule. It took some time to actually get that in
15 place. So DOL in fact had cases waiting for NIOSH when
16 the rule was published. So there was an initial bolus
17 of cases that moved over to us that had already been
18 developed, and many more that were nearly complete at
19 that time. DOL got the cases sent to them even before
20 the Act became active, of course. People were filing
21 claims, so they were working on claims from virtually
22 day one.

1 I think the easier cases, if you will, the ones that
2 DOL has not had to work with the claimant a lot to get
3 developed and ready to come to NIOSH probably have
4 gotten here. But again, I -- that may be a useful
5 question for DOL also to comment on. New cases clearly
6 do continue to come to DOL. You know, not all
7 claimants filed early. There are continuingly --
8 claimants still continue to come in. But certainly the
9 large group that they were working with at the outset
10 of the program I think has filtered, for the most part,
11 our way.

12 **DR. ZIEMER:** Peter, will you be -- is Peter here?

13 **MR. ELLIOTT:** Supposed to be here.

14 **UNIDENTIFIED:** No, he's not.

15 **DR. ZIEMER:** Well, then maybe we can re-ask the
16 question. I'm just interested in what the long-range
17 projection will be in terms of total cases. I'm sure
18 NIOSH is interested in that number, too. But Labor may
19 be able to tell us a little better than what's down the
20 road, I think is what you're saying. Correct?

21 **MR. SUNDIN:** I think so. Certainly they know how many
22 cases they're developing right now, and that may be on

1 their web site, now I think about it.

2 **DR. ZIEMER:** Okay. Other questions for David?

3 (No responses)

4 **DR. ZIEMER:** Okay. There appear to be no other
5 questions, David. Thank you very much.

6 **MR. SUNDIN:** Thank you.

7 **STATUS REPORT - DEPARTMENT OF LABOR**

8 **DR. ZIEMER:** Actually I was going to suggest that we go
9 ahead with Peter's presentation before the break, since
10 we're a little ahead of schedule. We're trying to
11 track down -- I wonder if --

12 **MR. ELLIOTT:** Jeff Kotsch is going to do it.

13 **DR. ZIEMER:** Is Jeff -- yes, can we -- okay. Jeff
14 Kotsch is going to do the presentation. Thank you.
15 And if possible, answer the last question.

16 **MR. KOTSCH:** Good morn-- can everybody hear me back
17 there? Good morning. My name's Jeff Kotsch. I'm the
18 health physicist with the Department of Labor's Energy
19 employee's compensation program. My director, Pete
20 Turcic, is unable to attend today. He's -- and he
21 sends his apology. He stayed back in Washington to
22 work a Congressional oversight hearing that he has to

1 attend on Thursday, so -- and unfortunately, I don't
2 think there's any electronic presentation materials for
3 this presentation. So this'll just be the audio
4 portion of the audio/visual presentation. Which is
5 probably a good thing 'cause I don't have to use the
6 remote control.

7 Primarily it's going to be a recitation of a fair
8 amount of numbers, all of which are current as of
9 October 23rd, 2003. As of that date, the Department of
10 Labor has received 48,311 claims. And for most of
11 these other numbers, I'm going to round them off just
12 for the ease of presentation rather than going off and
13 trying exact digits. But of those claims, the
14 majority, 32,800, are cancer claims. And then it drops
15 off -- beryllium sensitivities, we've got about 2,100
16 claims; chronic beryllium disease, CBD, about 2, 300
17 claim; silicosis, 900; RECA claims from the Department
18 of Justice, 5,100. And then there's a bunch of others
19 that actually is 23,000-plus claims that don't fall
20 into any categories, really are not claim conditions --
21 lung and -- different kinds of lung conditions, heart
22 conditions and things like that.

1 And then we had 36,597 cases. Now let me just -- I
2 always have to make sure I get myself clear on when I
3 talk about cases and claims. There's a case for every
4 employee, but there could be more than one claimant or
5 claims on that case. Obviously if the employee's still
6 living, he's the claimant. But if he's the -- he or
7 she is deceased, then the claimants can be either the
8 spouse or the children. So you can always -- you'll
9 always have more claimants than cases.

10 Again, as of October 23rd, Department of Labor has
11 reported 14,552 cases to NIOSH. We have 1,700 pending
12 final decision. We have 19,300 that have reached a
13 final decision, and we have about 1,500 in the pipeline
14 at our district offices that are pending some kind of a
15 decision.

16 So again, 48,311 claims, 36,597 cases. 20,100 cases
17 have received a recommended decision, and another
18 14,000, like I said, 14,552 cases have gone on to
19 NIOSH. That, for the Department of Labor, gives us a
20 percentage for cases that have gone to some kind of an
21 initial decision of 95 percent. That is, either
22 they've gone to recommended decision and been denied or

1 approved, or have gone to NIOSH for dose
2 reconstruction.

3 We have 19,300 cases that have gone to final decision,
4 and that's about 53 percent of our cases that have
5 received a final decision. That's resulted in
6 compensation payments to 9,143 claimants, to the amount
7 of \$673,991,000 in compensation payments. And medical
8 benefits have been paid to the amount of \$19,765,000 as
9 of October 23rd.

10 Of those cases that went to final decision, there were
11 actually about 24,000 claimants involved in that and
12 there were about 10,200 that were approvals and 13,700
13 were denials. The majority of the denials are
14 basically -- about 8,800 -- for non-covered medical
15 conditions. And then there are a number of other
16 categories -- employees not covered, survivors not
17 eligible, conditions not related to employment, things
18 like that -- that result in a denial.

19 A little bit just about performance of the Department
20 of Labor during the past fiscal year, 2003. We have
21 two groups that we basically set targets for as far as
22 claims processing goes. One group involves the AWEs,

1 the beryllium vendors and the DOE subcontractors and
2 then their claims. For that group the Department of
3 Labor has set a goal of 180 days to work those claims
4 through the process. In the first quarter of last year
5 -- the last fiscal year -- we were at an average
6 processing time of 242 days. By the third quarter we
7 were down to 142 days, and by the end of last fiscal
8 year we were down to 102 days, well below the goal of
9 180 days.

10 For the other group, for initial processing -- which
11 includes the DOE and the RECA claims -- the DOL
12 established a goal of 120 days, because it was assumed
13 that the information would be more readily available
14 for these. For the RECA's, it comes from -- RECA
15 claims, it comes from the Department of Justice. It's
16 pretty easily accessible for DOE. Obviously from the
17 larger facilities it should be easier to get the
18 information. So anyway, the goal for this group was
19 120 days for the initial processing of claims.

20 We started the last fiscal year at 177 days, but by the
21 third quarter we were down to 64 days, and actually
22 ended up a little higher by the end of last fiscal

1 year. We were at 80 days as an average for processing
2 that information for the initial processing.

3 And then the last thing, we were just -- I just wanted
4 to talk about was the status of NIOSH referrals, again,
5 as of October 23rd of last -- of this year. And these
6 numbers don't exactly match the ones that Dave
7 presented because of the differences in the dates and
8 things like that.

9 Anyway, our numbers as far as -- as of the 23rd of
10 October, we had 859 cases returned from NIOSH, 720 of
11 these had completed dose reconstructions. The others,
12 dose reconstructions were not required. Included in
13 those are cases that have -- that are involved with
14 chronic lymphocytic leukemia or something like that.
15 Then of that population, the cases that have a
16 recommended decision with the Department of Labor are
17 582. Acceptances were 216 of those and denials were
18 366. And then we've got 307 cases that are in final
19 decision. Of those, 176 are acceptances and denials
20 are 131. So you can see we're actually above 50
21 percent for acceptances right now. That -- as David
22 said, those numbers, as with their numbers, will

1 ultimately probably decrease and be going down. But
2 for the moment, that's -- those are the numbers that we
3 have.

4 That's the end of what I was going to talk about. You
5 had raised the question about where do we go from here
6 or what's coming, and that's an interesting question, I
7 know, for -- even for our people at the Department of
8 Labor. We do have a continuing outreach program that -
9 - through both traveling resource centers and the fixed
10 resource centers that are operated with the Department
11 of Energy. Sometimes the efforts wane and -- ebb and
12 wane because of -- whether they feel that there's a
13 sufficient number of claims being generated. I think
14 now that we're actually on an upswing again, they'll
15 begin more resource -- traveling resource center and
16 going out into the field to promote -- more actively
17 promote the program and go through various union
18 newsletters and other kinds of communications channels
19 to try to get the word out to make sure that people are
20 aware of the program. I think we're running about --
21 overall receiving about 200 cases per week. I think
22 we're sending about 40 to 50 a week to NIOSH. We were

1 earlier in the year running about 100 to 120 a week to
2 NIOSH, but I think it's down around 40 or 50 a week.
3 But as far as the long-term projections, I know the
4 Department of Labor's -- I'm not the best one to answer
5 that question. I can certainly pass that back to Pete,
6 but I know there is an outreach program that's in place
7 to try to, you know, get the word out and make sure
8 that people are aware of the program. As far as
9 projections go, I'm -- unfortunately, probably don't
10 have the best answer for that as to which way it's
11 going to go. It has obviously tailed off from the
12 initial surge and the -- you know, the initiation of
13 the program.

14 **DR. ZIEMER:** Let me ask a question that perhaps you or
15 someone from NIOSH can answer. Is it generally felt
16 that all of the major facilities have gotten the word
17 about the program, in terms of past workers? All of
18 the national lab type facilities, the facilities like
19 the Mallinckrodt here and others of that type around
20 the country. Are there any pockets where we would have
21 expected to see cases and we aren't seeing any? Or
22 claims, rather?

1 **MR. KOTSCH:** Yeah, again, I have to -- I guess my
2 caveat is that I'm not -- that part of the program I'm
3 not as familiar with as I am with the technical portion
4 of the program because of the work that I do. It's my
5 recollection is -- my understanding of the program,
6 we've gotten the word out fairly well across the board.

7 I know there's always been some concern that -- like
8 at Hanford -- at least my understanding is at Hanford
9 we didn't receive the number of claims, I guess, that
10 we would have initially expected, based on the
11 population that's present there -- or the number of
12 people that have worked there. A large number of
13 people in the DOE complex at some point in time worked
14 at Hanford, it appears, and we just haven't gotten -- I
15 know we haven't gotten that -- what we might have
16 thought --

17 **DR. ZIEMER:** The numbers you expected aren't that high,
18 so --

19 **MR. KOTSCH:** Yeah, the numbers we expected, even though
20 that's -- obviously the word's been there and there
21 have been claims there. I'm not aware of any other
22 sites -- I mean there may be some AWEs that may not

1 have been hit, but there was a pretty good program to
2 get out there and spread the word.

3 **DR. ZIEMER:** NIOSH, you have any comments on that? Or
4 -- okay. Mark?

5 **MR. GRIFFON:** Yeah, just a quick question about the
6 outreach. Do you have -- does DOL have an outreach
7 plan that might be made available to this Board? The
8 reason I ask is there is a number of groups that have
9 experience. I do work with the medical surveillance
10 programs at DOE and we've had various successes at
11 different areas, rely on different methods to reach
12 some of the retirees, and --

13 **MR. KOTSCH:** Yeah --

14 **MR. GRIFFON:** -- we've found -- we've found that
15 certain areas we have great successes with some means
16 of outreach and not with others, and so I think you
17 might -- there's a number of places you might tap into,
18 so I'm wondering if you have a plan that --

19 **MR. KOTSCH:** Yeah, I know the program has a plan. It's
20 in another group than the group I'm in, and I know that
21 they have been looking at and discussing, you know, all
22 the different ways of getting the word out, Mark, like,

1 you know, union newsletters and different kinds of
2 newspapers, even the more local newspapers in an area
3 versus just the, you know, more established. You know,
4 but there's those weekly -- even the advertiser type
5 newspapers trying to get the word out in some of those,
6 especially for when they do the outreach in a
7 particular area.

8 But certainly I can pass the question back to Pete and
9 ask him if we can get the plan to the Board. I know
10 there is a plan on the way they want to approach
11 outreach.

12 **DR. ZIEMER:** Yeah, I think probably just as a matter of
13 information, it would be of interest to many Board
14 members just to know that. Although it's not our
15 direct responsibility, it certainly relates very much
16 to what we do.

17 I think we have Leon next and then Genevieve Roessler.

18 **MR. OWENS:** Dr. Ziemer, I'm not speaking for DOL, but I
19 would like to respond to Mark's question. Mr. Turcic
20 attended the atomic council at -- atomic council's
21 composed of about 15 or 20 PACE locals, and Mr. Turcic
22 attended that three weeks ago, and we had a session on

1 outreach. So there were a lot of ideas that were
2 passed back and forth and I think that once those ideas
3 are compiled, that will be used for an actual outreach
4 program by DOL. So there has been participation by the
5 active unions.

6 **DR. ROESSLER:** Gen Roessler. Just a little expansion
7 on the same question with regard to outreach, which I
8 think would be important for the Board to know, or at
9 least my question is, what about those retirees that
10 have left the geographic region? And I assume that
11 some of these newsletters and materials are sent to
12 people who used to work at a site but have moved away
13 and should have the information.

14 **MR. KOTSCH:** Gen, those are things I know that they're
15 exploring and, you know, working through, like Leon
16 said, the unions to try to get the word out through
17 union newsletters and other -- we know that retirees
18 obviously exist in different places other than where
19 they worked. So yeah, the effort is to get to those
20 people, too.

21 **MR. OWENS:** Again, I think the challenge has been
22 accessing the information that some of the DOE sites

1 have had relative to employment records so that we can
2 reach these retirees. We've had some difficulty with
3 some of the sites in getting that particular
4 information. I know at my particular site, since we're
5 now privatized, the private corporation was reluctant
6 to provide information, so the union has entered into
7 an agreement with them to allow them to send out
8 mailers. We initially had 1,500 retirees that we sent
9 information to, but there is a list of roughly 10,000,
10 so we're now able to tap into that list. And I'm sure
11 that at Hanford they've experienced the same thing.

12 **DR. ZIEMER:** Thank you for that additional input.
13 Again, although this is not a direct responsibility of
14 this Board, I think it is in our interests to make sure
15 that those who may be eligible for our program actually
16 get the word. And anything that we can do to help
17 enhance that would be useful. And you know, we're not
18 asking the Labor Department to be accountable to us,
19 but I think there is an interest in what they're doing.
20 Might it -- might I also ask, since you didn't have any
21 handouts and we got a lot of numbers thrown at us and
22 they're very hard to track, can we get a summary of

1 what you told us --

2 **MR. KOTSCH:** Yeah, I --

3 **DR. ZIEMER:** -- before our minutes come out?

4 **MR. KOTSCH:** Well, I got -- I just got the notice to
5 come out here yesterday morning before --

6 **DR. ZIEMER:** Right.

7 **MR. KOTSCH:** -- I left, so --

8 **DR. ZIEMER:** No, I appreciate that, but perhaps --

9 **MR. KOTSCH:** Certainly.

10 **DR. ZIEMER:** Perhaps sooner than we get our minutes, we
11 might have -- just like a one-pager with the highlights
12 would be helpful.

13 **MR. KOTSCH:** Okay.

14 **DR. ZIEMER:** We'd appreciate that. Other comments or
15 questions?

16 (No responses)

17 **DR. ZIEMER:** Thank you very much.

18 **MR. KOTSCH:** Okay.

19 **DR. ZIEMER:** We're going to take our break at this
20 time. We're -- let's plan to reconvene at 10:45.
21 Thank you.

22 (Whereupon, a recess was taken.)

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

STATUS REPORT - DEPARTMENT OF ENERGY

DR. ZIEMER: We'll now proceed with the next item on the agenda, which is a status report from the Department of Energy. Department of Energy of course has an important role in this program in terms of providing dose and site information. The individual from the Department who now has a big part of the responsibility in supporting this effort is Tom Rollow. Tom used to be with the Office of Nuclear Safety in the Department. He's now with the Office of Environment Safety and Health. Tom, we're pleased to have you here with us today.

MR. ROLLOW: Thank you. Good morning. I can't tell if this is on or not, but I guess I -- now I can hear myself talking. Do we have some slides booted up here or... Okay, good. I'm the director of the Office of Worker Advocacy at the Department of Energy. I've been in this job for about seven months now. And what I thought I'd do today is give you a short -- about a dozen slides, give you the status of where the Department of Energy program stands currently, and then answer any questions

1 that you might have. If you'd just give us one moment
2 here, we're loading a CD-ROM into the computer.

3 (Pause)

4 I'm sure the Advisory Board is well aware the
5 Department of Energy both manages the Subtitle D
6 portion of the program, Labor of course manages the
7 Subtitle B portion of the program, and in addition to
8 that we also provide records from the DOE sites to the
9 Department of Labor and to NIOSH to support the
10 Subtitle D portion of the program.

11 **DR. ZIEMER:** The pressing question now is how many
12 NIOSH staff people does it take to load a CD-ROM?

13 **MR. ELLIOTT:** It helps to know the computer.

14 **DR. ZIEMER:** We'll pause just a minute here. I think
15 Jim's got it under control.

16 **MR. ROLLOW:** Well, at the Department of Energy, our
17 favorite saying, when we're dealing with IT challenges
18 like this, is it's not rocket science and that's why we
19 can't do it.

20 (Pause)

21 **MR. ROLLOW:** Well, let me just go through some of the
22 points that I have on the slides that I passed out to

1 you, and I think we had some handouts also for the
2 audience.

3 The Department of Energy currently has a total of about
4 20,000 applications for Subtitle D, and I apologize for
5 the microscopic nature of the handout here. When we do
6 get this loaded up you'll be able to see it in a little
7 larger format on the screen.

8 Total cases completed to date for the Department of
9 Energy is a little over 1,000 cases. That includes
10 both cases that have findings in the physicians panels,
11 as well as ineligible and people that we have
12 withdrawn their applications. We are currently
13 producing cases at the Department of Energy for the
14 physicians panels at a rate of about 50 cases per week,
15 and so those are starting to stack up. You can see in
16 the numbers on the chart that I've provided for you
17 that we have -- cases that are currently being
18 developed is over 3,000, and that we have about 456
19 that are actually waiting to go to the physicians
20 panels in different phases.

21 We have been looking at the Department of Energy at
22 ramping up the program to move it faster. The

1 Secretary actually asked us to -- last April/May time
2 frame, to begin an initiative to process all cases in a
3 12-month period. The challenge with that directive
4 from the Secretary is it does take resources, and so we
5 have been working inside the Department to identify
6 resources to apply to this program to movement inside
7 the Department of Energy.

8 We were successful in recently winning approval for
9 Congress to move \$9.7 million from other projects in
10 DOE to the EEOICPA Subpart D case processing. And in
11 addition to that, we are also looking for additional
12 funds in the FY '04 year to move into case processing.
13 The bottom line is that we have pretty much maxed out
14 our current operations at our current budget and case
15 processing at about 50 cases per week. We'll actually,
16 through some efficiencies, be able to increase that
17 case processing up to about 75, maybe to 100 cases per
18 week with our current budget. But we need an influx of
19 new -- of budget, approximately \$43 million for the
20 total FY '03/FY '04 12-month period to accomplish the
21 Secretary's objective, which is to process all the
22 backlog at the time, which was in the April/May time

1 frame, which was about 15,000 cases, to process those
2 in 12 months.

3 Those funds are in various stages of being requested,
4 either inside the Department or Congress. Funds for
5 out years, in '05 and beyond, of course would be
6 requested through the President's budget approval
7 process. And there is in your package a chart showing
8 what we project as the funding needs for this program
9 to accomplish those objectives.

10 If we don't -- if we're unable to obtain these
11 resources to process these cases in this nature, it
12 will take an extended time to process the Subpart D
13 cases. The estimates right now, depending on how you
14 do the mathematics, if we were to do 100 cases per week
15 and we have 20,000 cases, then we're obviously talking
16 200 weeks or over four years -- four to five years to
17 process all the cases.

18 This also does not take into account the challenge that
19 we have with the physicians panels, which is on the
20 tail-end of the process. The physicians panels, as you
21 recall, is a panel of three physicians appointed by
22 NIOSH, and we have about 120 physicians that are

1 actually appointed at this time. But each panel
2 consists of three physicians and they're generally
3 working part time. And the production rate that we're
4 able to utilize these 120 physicians working part time
5 is about 17 cases per week, which is far short of the
6 100-case per week goal and well far short of the goal
7 to get 15,000 cases done in a year.

8 We're working aggressively with NIOSH and with the
9 occupational health physician community to provide some
10 remedies to that situation. For example, one of the
11 things we're considering doing is bringing in
12 physicians full time. We think that a team of three
13 full time physicians working close by our case
14 processing operation can process about 20 cases per
15 week.

16 Thank you. Pull the trigger. Okay, I can do that.
17 I'm not going to go through this chart. This was at
18 the beginning of the presentation. If we need to have
19 some points of discussion, we can come back to this
20 chart which shows the Department of Labor and the
21 Department of Energy process. And this is just some
22 descriptive material that talks about the Labor program

1 versus the DOE program.

2 These are the numbers of the cases that I was talking
3 about, and I think I've been through those so I won't
4 dwell on that, but we can come back and talk about it
5 if you have some questions in a few minutes.

6 This is the reprogramming effort I was talking about.

7 If we continue current operations, when we say \$12
8 million -- 12 years, one projection has us not only
9 having the 20,000 cases that we currently have, but
10 also adding to that. We're still getting cases in --
11 new cases in at the rate of about 120 to 150 per week.

12 And so our model assumes that we will continue getting
13 new cases in for about two years. So on top of the
14 20,000 cases we have now, there's probably another
15 10,000 cases coming, so that's a total of about 30,000
16 cases. And if you do the math on that, we're
17 processing at 40 to 50 cases per week, which is our
18 current rate, the math would map out at about a 12-year
19 period. And that would not just be due to the current
20 backlog, but that would be the future backlog, also,
21 the cases we'd get in over the next two years.

22 The Secretary feels that this situation is unacceptable

1 and that's why he's asked for the option on the right,
2 which is to expedite processing and reprogramming. One
3 year, from funds available, we'll start this
4 initiative. We just, as I mentioned, received approval
5 of the \$9.7 million to reprogram from Congress a couple
6 of weeks ago. We will not start the ramp-up for this
7 major effort until we are able to identify the rest of
8 the money to accomplish this. And in fact, we've been
9 having discussions with some hill staff that -- not to
10 go do that until we get a clear signal from Congress
11 that there will be some more funds coming.

12 We term this a batch process. What I've tried to do in
13 the seven months I've been at the Department of Energy
14 Office of Worker Advocacy is try to get the process
15 going in a systematic fashion. We're all parts of the
16 process. We're all parts of the assembly line and
17 working at the same rate. This reprogramming effort,
18 this expedited processing effort, though, we'll revert
19 back to what we call batch processing. A concept is to
20 stand down from processing cases for about a month, and
21 you'll see where it says month one, all remaining data
22 requests to the field. We will get all the requests

1 for personnel records -- that's exposure records,
2 employment verification records, industrial health
3 records and site profile data that we don't have -- we
4 will get that all from the field -- requested from the
5 field the first month of this effort.

6 And then in months one through six -- in other words,
7 in parallel with that first month but continuing for
8 another six months -- the DOE sites will work at
9 getting all those records back to us. Once we start
10 receiving records back -- we actually have records in-
11 house right now that we're working on in the steady
12 state process, so we won't -- we don't need to stop
13 processing cases. Basically in months two through 12
14 then, the case processing people will start processing
15 cases at a higher rate.

16 Also, not shown on this chart is a growth factor which
17 we have to incorporate into the process to basically go
18 out and hire about 130 to 140 case processing
19 personnel. And we've already identified sources for
20 those new hires and we're ready to do that if we do get
21 the go-ahead for this effort.

22 This is just a graphical representation to show you how

1 unacceptable case processing spanned out over 12 years
2 is. The green line would be the 12-year option and the
3 red line would be the one-year option.

4 This is just a picture representation of our budget,
5 and I do need to caution you that, as with any budgets
6 in the Federal government, anything beyond FY '04 --
7 anything really beyond FY '03 is proposed right now
8 because the FY '04 budget has not been approved by
9 Congress, and the FY '05 budget has not even been
10 requested by the President yet of the Congress.

11 This is a graphic which shows case processing activity
12 inside my office, so these are the cases that have been
13 put together and sent -- and are ready to be sent to
14 the physicians panel. And you can see we've had our
15 ups and downs. The print's a little small on this, but
16 the left-hand end of the chart is April and the right-
17 hand end is last week. And we just hired about 24 new
18 case processing personnel in the past month and a half.

19 This was not a function of the \$9.7 million that we
20 got, although it will help us to pay the bills here.
21 That 75 cases we did last week I think is probably a
22 spike. I think we'll probably come down from there

1 this week and next week, but I think the range of about
2 50 to 55 cases per week is easily achievable.

3 When you're looking at data like this, it's probably
4 more relevant to look at like a four-week moving
5 average or something rather than to respond to
6 individual datapoints.

7 Challenges to accelerating cases per week. We
8 originally set a goal of 100 cases per week to the
9 physicians panel back last spring. That was based on
10 my and my staff's projections. When I got into this
11 job, looking at the resources and estimating the time
12 it would take to process these cases, that was -- that
13 estimate -- we were unable to make that 100 cases per
14 week. And we now think the number on the current
15 budget, the current \$16 million budget is about 50
16 cases per week.

17 Records collection continues to be a challenge. We
18 have a little bit more records that we collect than the
19 Department of Labor does for their program -- in fact,
20 significantly more. We get, in addition to employment
21 records which Labor collects, we also -- and radiation
22 exposure, which it goes to NIOSH, also -- we are

1 collecting the medical and industrial health records.
2 And some of the challenges that we have, which are
3 really challenges with both Subtitle B and Subtitle D
4 parts of the program, is we're talking back to the
5 1940s. We've had contractors change in the field,
6 which makes it difficult sometimes to find the records.

7 Subcontractors disappeared and some workers have
8 worked multiple sites, which is a challenge. I know
9 NIOSH is dealing with that a lot in the dose
10 reconstruction area. And a lot of records are
11 archived. I end up sending my records people to
12 strange places like Atlanta and caves in Montana and
13 places like that to pull these records.

14 We're getting a good handle on that, though, and I
15 think we're on the downhill slope on the records side,
16 though. We've got a pretty good handle where the
17 records are, and pretty good processes in the field for
18 pulling the records together now.

19 We are trying to assemble the best case for the worker.

20 There are different varying degrees of sophistication
21 and time we can put into this process. We could just
22 slap the files together and send them on to the

1 physicians panels in whatever form we get the records
2 from the sites. But I have medically-trained people
3 that are trying to do a good job of putting the best
4 case forward for the individuals so that they get the
5 best and most accurate results.

6 Accomplishments and vulnerabilities I just wanted to go
7 over with you briefly this morning. There's some
8 infrastructure issues that we had back in DC which
9 really are not much interest to this Board, but we've
10 been moving people around in different office space
11 trying to get the appropriate office space for the
12 operation. I know NIOSH had some of the same
13 experiences in Cincinnati some number of months ago,
14 and that is -- it is very disruptive when you've moving
15 people. We're actually moving people this week, and
16 the production numbers will actually drop a little bit
17 this week because of that.

18 We also hired a management consultant company that does
19 consultant work with state Work Comp agencies to come
20 in and look at our operations and give us some ideas of
21 some improvements that we can make, and they're in the
22 draft stages of that report. We should be getting the

1 results of that in the next few weeks or a month or so.

2 And that's -- I think I would characterize that as
3 kind of an efficiency expert kind of recommendations,
4 and we'll meet with them and score those and figure out
5 which ones can have a lot of pay-back for us and we'll
6 implement those into our process.

7 NIOSH has helped us get more physicians for the
8 process. We're still far short of the number of
9 physicians that we need if we're going to continue to
10 work on a part-time basis. We're also working -- an
11 idea, as I mentioned before, on a full-time basis,
12 getting physicians from the Public Health Service, VA,
13 hiring them directly, whatever we can do to get -- I
14 think full-time physicians are going to be a big part
15 of the answer here.

16 There's some other innovative ideas that are floating
17 around on the physicians panel, also. The panel right
18 now is made up of three physicians. A majority of our
19 cases are unanimous votes by the three physicians, and
20 so there's other ideas such as why don't we have panels
21 that are two physicians, and then only bring in a third
22 physician as a tie-breaker. Those kinds of issues need

1 to be well-vetted before we implement them, and they're
2 also currently written into our rule, so any changes in
3 that process would probably involve rule changes.

4 Vulnerabilities to our program really ends out -- is
5 out there on that payer* end. Some -- excuse me,
6 vulnerabilities internal to the program. Some sites
7 are still having difficulty meeting quota for data.
8 And I don't mean to put Paducah on the report. In
9 fact, Paducah actually has been making pretty good
10 progress in the past few months, but we still continue
11 challenged, bringing in the records under the current
12 budget structure. If we do go to the batch process, I
13 think that a lot of that will be alleviated because
14 we'll basically put a lot more people on the jobs in
15 the field at DOE. I've already mentioned the issues
16 with the numbers of physicians.

17 Overall issues, these are really more external kind of
18 issues for the program, which I think you're all well
19 aware of and you've seen discussed in the media.

20 Payment of the worker compensation is complicated, a
21 complicated issue, and I know you know that. It's
22 different in all states. It's different in most

1 states, I guess I'll say. Also our relationships with
2 our contractors at each site and their relationships
3 with their insurance carriers and the state governments
4 and state funds all play into this.

5 I characterized it once to a reporter to say that well,
6 there's probably about 20 different variables that you
7 have to multiply together to come up -- to determine
8 whether there's going to be a willing payer at the end
9 of the process when we have somebody apply for work
10 compensation -- Workers Compensation, and those
11 variables are different for different points in time,
12 different relationships the contractors had at the
13 sites with the Department, different relationships they
14 had with the state government, with the insurance
15 companies, and it's a very complicated mathematical
16 equation, if you will.

17 A couple of states, just as examples here -- Ohio for
18 the most part operates a State insurance fund, and
19 there's also some potential statute of limitation
20 issues there, although those may be removed for this
21 program. Subcontractors generally provided their own
22 coverage at DOE sites, and so the Department does not

1 have a relationship where it can order a contractor not
2 to contest a claim if there was a subcontractor who
3 came to the site whole, with his on Work Comp claim
4 coverage. The US Enrichment Corporation is not a DOE
5 contractor and is -- course is running portions in
6 Paducah operations and we don't know how they'll
7 respond when they get Worker Compensation claims, but I
8 cannot make a direct order to them not to contest
9 because they're just leasing the property from us, the
10 facilities from us.

11 There is a GAO audit in progress, which has been
12 discussed in the media. It's focused on both the
13 willing payer issue, as well as production. The report
14 on that audit is not out. We were told the report
15 would be out sometime in the March time frame, although
16 I -- you are seeing some of the facts that they've
17 identified being discussed in the media.

18 We have areprogramming, which is very important to us,
19 that we either request for FY '04. We hope the
20 appropriators will make that available during the
21 conference process in the next few weeks. I don't know
22 how that's going to come out, nor would I guess at it,

1 but that's the additional funds we need to move this
2 program faster.

3 Applications are still coming in at 125 to 150 per
4 week, and physician availability to serve on panels
5 still continues to be an issue.

6 I apologize for the size of this chart here, but I
7 think if you just look at the optics here, it's high on
8 the left and it gets low on the right, and that's the
9 good direction. This is actually a reflection of how
10 well we've been doing on employment verifications for
11 the Department of Labor. In the early days -- and I
12 think that chart starts -- looks like June of '02, as
13 best I can read the chart there, we had literally over
14 1,000 that were over 60 days old. Department of
15 Labor's performance metric on this is to -- for the
16 Department of Energy to return employment verifications
17 to the Department of Labor, once they're requested, in
18 60 days. Then there's a percentage, I think, that were
19 allowed to be over 60 days. I think it's like ten
20 percent or something. But we actually for the past
21 five months have got it down to less than two percent,
22 so I think our performance -- the Department's

1 performance there in getting the documents back to
2 Labor have been very good.

3 I'd also like to draw your attention to the fact that
4 employment verifications for Labor, we have provided
5 over 33,000 of those employment verifications for the
6 Department of Labor, and they use that in the Subtitle
7 B program. So the Department of Energy does play a
8 significant role in providing data for the Subpart B
9 program.

10 This is our performance on providing information back
11 to NIOSH, which I think is more near and dear to your
12 concerns here. The reason that there's some gaps is
13 that some months we didn't run the numbers to determine
14 what the status of the program was. NIOSH has a
15 similar criteria for us, which is to get the data back
16 to them within 60 days and to not have over ten percent
17 past due, past that 60 days. We're down below eight
18 percent, and we're going to continue driving this down.
19 I think it's important for me to mention here that the
20 Department of course has three roles. Not only do we
21 process the Part D claims, but we supply this data to
22 NIOSH and to the Department of Labor. I've made it

1 clear to the field offices that support me in this
2 effort and support NIOSH and the Department of Labor
3 that their first priority is to support NIOSH and the
4 Department of Labor with this data so that when we have
5 challenges, whether they be resource-driven or whatever
6 at the sites, the first customer they serve is the
7 Department of Labor and NIOSH. So we do not want the
8 Department of Energy to be a problem or the long leg --
9 the long pole on the tent for those operations.
10 And that's really all I brought to show you today. I'd
11 be happy to answer any questions.

12 **DR. ZIEMER:** Thank you very much, Tom. Mike Gibson
13 will start the questions.

14 **MR. GIBSON:** Not only are -- you know, I'm glad to hear
15 the Secretary's upset at the backlog of the cases, but
16 I can also tell you that the people I'm passing from my
17 former site, you know, they're very well frustrated,
18 too. I also notice that some of the Senate are very
19 concerned about this, too, and are looking at possibly
20 ways to move this responsibility to another agency.
21 Could you give me the Department's opinion on that?

22 **MR. ROLLOW:** Well, I need to be careful here. I'm not

1 really in a position to comment on pending legislation.

2 I will tell you this. The original law as written in
3 the year 2000 asked the Department of Energy to do this
4 -- carry out this part of the -- the Subpart D portion,
5 and we're going to do that to the best of our ability
6 and going -- and complete that job. If the Congress or
7 the President decide to change that, I think that's
8 what you mean when you're talking about pending
9 legislation, then we'll support that 100 percent and
10 work with whatever remedy they choose to put in place
11 there.

12 **DR. ZIEMER:** Mark Griffon.

13 **MR. GRIFFON:** Tom, just to follow up on the data
14 requests, the last slide that you showed, I've noticed
15 -- and I don't have the references in front of me, but
16 I think it was the Savannah River Site profile that
17 NIOSH put out, they mentioned that certain archived
18 records would be very difficult to retrieve. And I'm
19 wondering if, you know, who -- how is that -- how --
20 how is that process determined between DOA and NIOSH?
21 You know, NIOSH requests records that they believe
22 might be valuable to their site profile and DOE says

1 well, these are too difficult to retrieve or -- who --
2 who is responsible for that? Is it the individual
3 sites, DOE staff, or is it headquarters or how is that
4 --

5 **MR. ROLLOW:** Well, basically the way this program is
6 funded and operating, I fund the retrieval of those
7 records. So ultimately I have a say in what's done
8 there. I'm not familiar with this specific case. I'd
9 be happy to take a look at it later. But we generally
10 err on the side of going -- at least my records people
11 tell me -- very far and very deep on these records.
12 I'll be happy to take another look at this. I haven't
13 specifically discussed it with Larry Elliott, but will
14 be happy to discuss it with NIOSH and see if there's
15 something more that we can or need to do there to
16 support NIOSH.

17 **MR. GRIFFON:** And just a follow-up. Do you have any
18 sense of the types of records that have been requested
19 versus the types that you've provided? For instance,
20 personnel records versus like area monitoring or air
21 sampling records or -- or, you know -- I guess records
22 by categories sort of, that have been requested versus

1 provided by the Department back to NIOSH?

2 **MR. ROLLOW:** No, I'm not familiar with -- you're saying
3 have we -- were we not responsive in providing what
4 they requested or --

5 **MR. GRIFFON:** Yeah, I'm just wondering if you had any
6 breakdown on --

7 **MR. ROLLOW:** No, I just -- I don't have that with me
8 and -- I can get that kind of information and get it
9 back to you.

10 **MR. GRIFFON:** Or NIOSH may have that breakdown, as
11 well, I don't know, but I'd be interested...

12 **DR. ZIEMER:** Roy DeHart?

13 **DR. DEHART:** Tom, last week before coming to this
14 meeting there was an article in our local paper in
15 Nashville, and I understand there were similar
16 publications elsewhere, which are totally confusing the
17 two programs. And they're talking about the Worker
18 Comp program with a guaranteed \$150,000 of the Special
19 Cohort side. I don't know how you get the right
20 information out once it's out there in a newspaper, but
21 somebody needs to be clarifying what these programs are
22 providing. And I know you're trying -- I assume that -

1 - but it's not getting through.

2 **MR. ROLLOW:** That's a very good point. It took me even
3 a month and a half when I first got this job to
4 understand the difference in the two programs. It is a
5 very complex program, the way it's set up in the
6 legislation. And that's not a criticism of the
7 legislation, but to accomplish whatever the goals were
8 of the legislation, it's very difficult to do. We're
9 very much aware of that and every conversation I start
10 -- I mean you may have even noticed this slide show,
11 this esteemed group here that's been involved with this
12 for many years and you know it frontwards and backwards
13 and sideways, the first two slides of my presentation
14 here even to you today were to explain this if I needed
15 to, and that's the left side is Labor, the right side
16 is DOE, that first chart. And the second was in prose,
17 trying to explain the difference in the programs.
18 We are continuously challenged. I went to Fernald week
19 before last to talk to the Fernald II workers and that
20 put three programs up on the podium in front of them --
21 the Fernald II program for those who -- that are
22 familiar with it, as well as the Subpart D and Subpart

1 B programs -- and you talk about a confusing mess, it
2 really was. And it took about an hour and a half to
3 untangle that, to explain to people -- and I'm not sure
4 that we successfully did it for everybody.

5 So my commitment is, at least from the Department of
6 Energy standpoint, that we will continue to do more and
7 more communication on that. We're looking at more
8 things we can put on our web site to describe those
9 differences rather than just let people read the dusty,
10 dry law, but also put some graphics up there that
11 explain the difference. And my telephone operators,
12 making sure when people call -- and they do do this
13 well already, but to sort it out. Are you -- did you
14 mean to call the Department of Labor, are you working
15 on this program -- and send people to the right places.

16 But it is a continuous challenge.

17 **DR. ZIEMER:** Tom, the fees for physician panels, are
18 those out of your budget or out of the NIOSH --

19 **MR. ROLLOW:** They're out of my budget.

20 **DR. ZIEMER:** Your budget.

21 **MR. ROLLOW:** Yes, sir.

22 **DR. ZIEMER:** Okay. Thank you.

1 **MR. ROLLOW:** And you may be aware that the fees are
2 fixed by law to a certain pay scale in the Federal
3 government, and that is one challenge that we do have
4 attracting enough physicians, as it is on the low end
5 of the scale for what these physicians are used to
6 being paid.

7 **DR. ZIEMER:** Unlike the fees for the Board. Right?
8 Okay. Other comments or questions for Tom?

9 (No responses)

10 **DR. ZIEMER:** There appear to be none, Tom. Thank you
11 very much, we wish you all success in your part of this
12 effort.

13 **DR. DEHART:** I have a question. Will you be around at
14 lunch?

15 **MR. ROLLOW:** Yes, I'll be here the greater part of the
16 day.

17 **DR. ZIEMER:** Okay. Thank you. Now let's stop a moment
18 and look at our agenda. Our lunch schedule is a ways
19 off yet. I'm wondering if we could think about
20 starting the dose reconstruction information -- Mark,
21 do we need to wait till after lunch? I know your folks
22 have met early this morning, your work group. You may

1 have been counting on the lunch hour to get all of your
2 things ready, so let me ask that question because --

3 **MR. GRIFFON:** I was sort of counting on that.

4 **DR. ZIEMER:** You were counting on that, okay.

5 **MR. ELLIOTT:** We could take public comment.

6 **PUBLIC COMMENT PERIOD**

7 **DR. ZIEMER:** Yeah, we could do that. Let me ask --
8 although the public comment period is not scheduled
9 till later this afternoon, we could, if there are some
10 interested -- we could take some public comment now,
11 although we certainly don't want to require that since
12 some may have been counting on doing it later in the
13 day. But if there are any -- first of all, let me ask
14 if any -- if there are some signed up and do they wish
15 to wait or --

16 **MR. ELLIOTT:** Cori's getting that.

17 **DR. ZIEMER:** Okay. We'll wait just a moment here.

18 (Pause)

19 **DR. ZIEMER:** We do have a number that have signed up.
20 Is there any objection if we hear some of the public
21 comment now? Tom Horgan from the Senator's office is
22 here. Tom, do you object to going now, or would you

1 prefer to wait?

2 **MR. HORGAN:** Not at all. Fine, it'd be great if it
3 helps the program.

4 Okay. I'm Tom Horgan, and I am the professional
5 staffer on the health, education, labor and pension
6 subcommittee on aging and where I handle labor and work
7 force issues, and that is chaired by Senator
8 Christopher Bond of Missouri, the state you're in. And
9 I just first of all want to extend a warm welcome to
10 everyone to the St. Louis area and the great state of
11 Missouri. It's the -- either the Gateway to the West
12 or, as my late father would say, the back door to the
13 east, depending on which direction you're headed in.
14 But that being aside, I certainly appreciate -- as you
15 all know, we have quite a few former atomic energy
16 sites in our area, predominantly the Destrehan site,
17 which was I believe based in downtown St. Louis, as
18 well as the Weldon Spring site, which is a rather large
19 site that has just recently been cleaned up out in
20 Weldon Spring in St. Charles County, about 25 to 30
21 miles out Highway 40, just across the Missouri River.
22 There are some other sites in Hematite*, as well,

1 vicinity properties and what have you, so this is very
2 helpful, I believe, to myself as a member of the
3 committee, as a member -- a staffer of the committee
4 and someone who works on Missouri issues in this area.

5 Again, thanks for coming.

6 I think it's also helpful to the public. We certainly
7 appreciate this. I want to commend NIOSH for coming in
8 and all of you for making the long trek in. This is a
9 very highly complex issue, and I realize it's not the
10 easiest piece of legislation to implement. And what we
11 need to find out is from experts in the field, we need
12 to get your feedback. And I certainly thank everyone
13 for showing up here today. I know there's quite a few
14 interests. You know, you can imagine a lot of the
15 constituents here are a little frustrated right now.
16 But you know, again, I understand it's not the easiest
17 piece of legislation to implement. But again, I just
18 wanted to give y'all a warm welcome and thanks for
19 coming. This is, I believe, very -- going to be very
20 helpful.

21 **MR. ELLIOTT:** Thank you, Tom. Dr. Ziemer had to step
22 away for a moment. He'll be right back I'm sure.

1 Anyone that has signed up, we don't want to take time
2 away from this afternoon. We will commit to having
3 public comment period as the agenda specifies later
4 this afternoon.

5 Next on the sign-up list is Carol Bergesh Lueddecke. I
6 hope I didn't mess your name up.

7 **MS. LUEDDECKE:** (Inaudible)

8 **MR. ELLIOTT:** Okay.

9 **DR. ZIEMER:** For the record, she's indicated that her
10 questions were already answered. Yes, thank you.

11 Denise Brock? Denise has been with us before. Denise,
12 do you want to proceed now?

13 **MS. BROCK:** I, too, would like to thank you all for
14 coming to St. Louis. I know I've asked several times
15 and I'm happy to see you here today. Again I have
16 several questions or comments. I guess my first would
17 be to Tom Rollow from DOE. Is that okay if I do that?

18 **DR. ZIEMER:** We can have you pose the question, Denise,
19 but we may -- depending on the length of the answer, we
20 may ask Tom to answer that separately.

21 **MS. BROCK:** Okay. Thank you. To the willing payer
22 issue, I guess I'm somewhat perplexed by that. I

1 noticed you mentioned another state, but we're in
2 Missouri and we have that same issue here. Willing
3 payer seems to be -- to me to be somewhat of an
4 oxymoron. I don't think anybody really wants to pay
5 this from this area. We have a situation that -- here
6 that Mallinckrodt years ago had private insurance. And
7 then of course you've got a statute of limitation
8 problems. Now we've got Tyco that purchased
9 Mallinckrodt, and I believe Tyco has their own set of
10 issues. So I'm really curious. Are these people
11 without remedy on Subpart D? We don't have anybody to
12 take care of this, so where does this go?

13 **DR. ZIEMER:** Why don't you go ahead with other
14 questions. These questions will go on the record, and
15 then -- depending on our time -- we may allow some
16 responses. But as I indicated earlier, this is not
17 intended to be a question/answer session for the public
18 comment period.

19 **MS. BROCK:** Okay. And then I guess maybe another one,
20 for the record again, would be to Tom Rollow as far as
21 documents. And maybe I'm asking the wrong person, but
22 I'm wondering about FOIA requests, maybe from -- to Amy

1 Rothrock*. If we are trying to obtain certain FOIAs
2 that have documents and memos in there, is it possible
3 to -- do you take those into consideration under
4 Subpart D, as well, as far as exposures to people. And
5 I understand that a lot of these diseases have latency
6 periods. How does that factor into a worker's comp?
7 If a worker didn't become sick until years later, is
8 there remedy for that person?

9 Go ahead? And I would also like to address the
10 outreach. We had 3,300 employees -- direct employees
11 of Mallinckrodt; 400 of those -- or somewhere around
12 400 -- have filed claims. I believe there was not a
13 whole lot of outreach in this area until recently. And
14 I believe it's everybody's responsibility, and I
15 include myself in that, to try to contact each and
16 every one of those 3,300 employees or their survivors.

17 Anybody that was exposed to any of this radiation and
18 was made ill or died, they deserve compensation or
19 their surviving family members do. And I don't know
20 what it is that we need to do to get that word out
21 there. But again, it's not just the direct employees.

22 We have building and construction trades council

1 meetings that I try to attend -- anybody involved in
2 cleanup, dismantling or construction of these
3 facilities -- and I just don't know what else it is
4 that we need to do to try to get that word out there.
5 And it is a complex program, but the way I try to tell
6 everybody, it was just split into two parts. One was
7 implemented by DOL and the other one's by DOE. But I
8 think that a lot of this just needs reform and there
9 just -- there has to be a way to do this. The money's
10 there. We have to find a way to get the word out to
11 these people and take care of them.

12 Thank you, and I'm sure I'll have more tomorrow.

13 **DR. ZIEMER:** Thank you, Denise. In terms of the two
14 questions you raised, those are questions that the
15 Board members may also wish to know the answers to.
16 And I'm going to suggest, Tom, if you are able to
17 address those now, we'll give you some time to do that.

18 Otherwise, if you could supply the answers for the
19 record later, both to the Board and to Denise.

20 **MR. ROLLOW:** I can answer those now. Let me get to the
21 microphone.

22 **DR. ZIEMER:** Tom can address those issues now.

1 **MR. ROLLOW:** The first question had to do with the
2 wiling payer issue, and it's a very complex issue and
3 not very satisfactory for some parts of the community.
4 The law ordered the Department of Energy to not -- to
5 order its contractor not to contest a claim in the
6 Workers Comp system -- in the State Worker Comp system.
7 The Department of Energy does not pay claims directly.
8 There is no fund, no entitlement to pay these claims.
9 It basically uses the State Work Comp system. The law
10 is very specific that it says the Department of Energy
11 can order a contractor who employed a contract worker
12 not to contest the claim.
13 The problem we have is that there are a lot of
14 facilities where DOE -- there are some facilities where
15 DOE is no longer present and has no contractor at that
16 facility. And so no relationship, no contractor that
17 we can order not to contest the claim.
18 In other cases, there are subcontractors that may have
19 worked at a site who did not work for our contractor,
20 but worked for a subcontractor, and they came to the
21 site either with their own Work Comp arrangements, and
22 we have no -- we just have no vehicle, no legal way to

1 order them not to contest -- to order someone not to
2 contest that claim, and that's the willing payer issue.
3 Yeah, "willing" is a funny word, but there is no payer
4 there -- now, does that mean there's no payer? No, it
5 does not. Workers Compensation in each state works
6 differently and different rules, but you can apply for
7 Workers Compensation, but what you don't have is the
8 Federal government perhaps at the tail end of that
9 process. But there may be a State fund, there may be
10 insurance companies that hold policies. They'll have
11 to review that.

12 You also mentioned a question about FOIA, Freedom of
13 Information Act, requests and can that information get
14 into the process. I'm not really sure that I
15 understand your question there, but you also tied it up
16 -- related it to getting sick later. You want to
17 clarify that?

18 **MS. BROCK:** Yes, I'm sorry. I probably confused the
19 two. I'm wondering if -- if a claim is denied under
20 Subpart D -- and I'm not really -- I have to say I'm
21 sorry because I'm just not as familiar as what I should
22 be with that program. But hypothetically, if that goes

1 in front of that physician panel and that claim is
2 somehow denied for whatever reason, maybe that person -
3 - I don't know, is that saying there -- is it similar
4 to the dose reconstruction? Would it be saying that
5 that person was not exposed enough? And I'm wondering
6 if a FOIA request that would have extra information in
7 it could be obtained and reviewed. Does that somehow
8 factor into Subpart D?

9 **MR. ROLLOW:** Okay. In the Subpart D process every
10 applicant is allowed to submit items for the record.
11 And so if they have FOIA'd from former employers or
12 from the Department certain information that they want
13 to see in their record, they can add that to their
14 record. And there are several opportunities in the
15 process, including they get a last look at the package
16 before it goes to the physicians panel.
17 The physicians panel does not deny anything. The
18 physicians panel either has a finding that it was more
19 likely than not that their illness or injury was caused
20 by their work at the Department of Energy, or they do
21 not have that finding. That doesn't necessarily mean
22 that this person will see a denial in the State system.

1 It just means that they will not have a positive
2 finding from the physicians panel.

3 **MS. BROCK:** Okay. Thank you.

4 **DR. ZIEMER:** Thank you very much, Tom, for clarifying
5 those issues for everyone.

6 Clarissa Eaton, are you interested in speaking now or
7 would you prefer to wait till this afternoon?

8 **MS. EATON:** I'll speak now.

9 Good afternoon. Thank you for coming. My name is
10 Clarissa Eaton. I'm from Festus*, Missouri.

11 Fortunately I'm not a claimant, nor do I have any
12 family members who are claimants. I am a board member
13 of the United Nuclear Weapons Workers of the St. Louis
14 Region.

15 And just to give you a background of how I got involved
16 in this, our home was contaminated by Mallinckrodt.
17 Right now there's -- at least we know of 60 pounds of
18 uranium about 3,000 feet from my home.

19 I realize that we have a serious problem here in the
20 state of Missouri. I'm glad somebody's here from Kit
21 Bond's office. We haven't heard a lot from his office
22 and I'll be sure to keep that in mind at voting time.

1 I am here on behalf of the weapons workers who once
2 worked for my behalf as an American citizen. It is my
3 duty and honor to be here to express my concerns, for
4 the public record. We owe this to the men and women
5 who worked to protect the United States.

6 First of all, I'd like to say that the missing records,
7 I don't believe that that's an accident. It seems to
8 be typical these days with these big corporations and
9 it seems to be standard operating procedures. In that
10 case, I believe that the worker deserves the benefit of
11 the doubt and that the burden should not be on the
12 employee, and that we need to get serious about
13 addressing the health concerns and hazards to these
14 workers, and come forward and do your jobs. And I
15 would appreciate that, and I know the families would.
16 I also believe that, as a DOE facility, that everyone
17 that worked at these facilities after, whether it be
18 commercial, should be included because of the residual
19 contamination that was left over. I don't think it
20 should stop at the Cold War weapons workers because
21 essentially after that, the walls, the buildings -- to
22 this day the plant in Hematite is so contaminated it

1 should have been dismantled in 1974. Instead it was
2 sold to a commercial facility and they played a big
3 game of hot potato and just kept selling the property
4 and not addressing the cleanup problems.

5 Missouri has now become a state of pollution, and we
6 have a serious problem here, and I hope that everyone
7 here hearing this message will lift the veil of what
8 has been going on. You, the professionals, the health
9 and safety people, the chemists, all the high-paid
10 people that should have been watching out for us,
11 including the State agencies, they have really let me
12 down. I am astounded at the things that are going on
13 right under our nose and in our own back yards.

14 I also would like to ask about the other health-related
15 conditions that aren't mentioned. I know there's
16 cancer and -- but I know there's also a lot of other
17 things that aren't ever mentioned, like Parkinson's
18 disease, different things that are affected, like the
19 degreasers and things that were used to clean up this
20 radiation stuff. I know TCE, which is another thing
21 that is in our water that my family was drinking --
22 there's lots of things that aren't discussed or

1 covered. And I know if it was my grandfather or one of
2 my family members, I would definitely like to see that
3 they get justice.

4 I think this process is extremely slow, and I think
5 it's embarrassing, and it's also insulting to the
6 claimants. Thank you.

7 **DR. ZIEMER:** Thank you very much, Clarissa, for your
8 comments.

9 Let's see -- oh, Bob Tabor. I'm trying to read the
10 writing here. Bob has been with us before. Bob, do
11 you wish to address us this morning or --

12 **MR. TABOR:** Yeah, very briefly. I'm Bob Tabor from
13 Fernald Atomic Trades and Labor Council from the
14 greater Cincinnati area. Just say I'm happy to be here
15 once again.

16 I just wanted to follow on with some comments that Tom
17 Rollow made. He mentioned a few weeks ago that the
18 DOL, DOE, people from the Workers II compensation --
19 no, not compensation, the health program that's
20 provided the employees there. There was an outreach
21 effort at our site. I think there was probably maybe,
22 just by my visual estimations, 200 to 300 people

1 probably that turned out for that, mostly retirees.
2 Unfortunately, I think more people left more confused.

3 Jim was there, by the way, also.

4 Our people that participated on the panel, as well as
5 myself, I think did an excellent job as specialists,
6 you know, in their particular area. But the efforts to
7 communicate that as an outreach, you know, effort
8 wasn't well planned as far as how do you coordinate the
9 communication so it makes some sense to the people. I
10 believe that possibly some kind of an overhead that may
11 simplify the program, because people would speak to
12 like Federal programs, and maybe somebody in the
13 audience would ask well, how many programs are there,
14 and -- you know, and one said well, we have two
15 programs. We have a Federal program for this and a
16 Federal program for that. You know, personally, I
17 believe the answer should have been there's the Energy
18 employees occupational compensation act program and
19 it's got two subparts, kind of something like your
20 overhead showed there. And you know, this subpart is
21 handled by this particular agency and this part handled
22 by that agency. This is not rocket science to bring

1 that kind of a communication across to these folks.
2 You had a lot of confusion inasmuch as a number of
3 these retirees is something that we need to take into
4 consideration when communicating, you know, anything in
5 outreach is that a lot of these folks have already
6 applied to states for compensation, way before any of
7 these programs were invented. So there was confusion
8 over, you know, the DOE's Office of Worker Advocacy and
9 state compensation efforts there, as opposed to people
10 who have already previously applied directly to the
11 states, as well as those who've applied to the DOL for
12 the Subtitle B, you know, process.

13 I appreciate the effort that was made. Unfortunately,
14 it didn't come off as good. So my comment would be
15 that if we do anything like this in the future, you
16 know, to also try to provide outreach to people who
17 maybe don't know about the program, that we think our
18 way through this and have some overheads with some
19 simple explanation of just the basic structure of the
20 program, you know, from that perspective.

21 It's easy for me to get up and explain to somebody how
22 to do that, but after all, I've been to every one of

1 these sessions and I deal with this on a daily basis,
2 you know, on my own home ground for those folks making
3 application. And of course I will say I'm very pleased
4 with the progress that's being made with the resource
5 centers. Those folks are really making a genuine
6 effort to re-establish credibility and to help those
7 claimants out there. So you know, I applaud them for
8 that effort and whatever Department or Agency's
9 responsible for that.

10 On another note -- let me see, another comment that I'd
11 like to make here -- and it might be -- I don't know if
12 I want to form this in the way of a comment or a
13 question. Willing payer issue. There's some
14 confusion, I think, with a lot of the claimants out
15 there relative to the Department of Energy's -- let me
16 see if I can frame this right. Some people believe
17 that the Department of Energy is supposed to have told
18 the prime contractors not to oppose the claims at the
19 state level. I'm not so sure that we don't need some
20 additional clarification, or maybe I don't understand
21 it.

22 Apparently what it is is people that have claims or

1 make claims at the state level, at least those that I
2 know that have made those claims that were maybe
3 employees at my site, the prime contractor has showed
4 up with their legal people and have opposed those
5 claims. And that left the claimant somewhat surprised,
6 because their impression was well, we thought the DOE
7 was telling the prime contractors not to do this. My
8 basic understanding is that that does happen, but only
9 if you have worked your claim through the Office of
10 Worker Advocacy and it's been seen by the physicians
11 panels and some decision has been made one way or the
12 other.

13 Now I don't know which it is, but I know that prime
14 contractors are showing up because most of -- are
15 saying well, this didn't happen on my watch. We have -
16 - we have a claim number with the state and this didn't
17 happen under our claim number. Whereas the position of
18 the unions and the employees are well, it's our
19 understanding, you know, that -- this would be the
20 union speaking in this sense -- would say well, it's
21 our understanding that, you know, when a new prime
22 contractor takes over this site, he inherits, you know,

1 the work force and he inherits the problems that that
2 site had previously. So when you make a claim, as far
3 as I'm concerned, it should be under the current prime
4 contractor. But the current prime contractor says
5 well, wait a minute here, you're going to have to file
6 that claim with the previous contractor, or the
7 previous contractor before that.

8 So there's some considerable confusion relative to
9 Workers Comp claims, the recommendations coming from
10 the DOE to the prime contractors and what that criteria
11 is, and possibly Tom might be able to clarify that
12 because it's difficult for me to answer a lot of our
13 claimants' questions on that. Does any of this make
14 any sense, what I just said? And maybe that might be a
15 subject matter that could be offered up for some
16 clarification at whatever point in time.

17 **DR. ZIEMER:** And thank you, Bob. And Tom, if you do
18 want to respond at this point, I'll certainly give you
19 that opportunity, or maybe you can bring some
20 clarification for everyone, as well, on that issue.

21 **MR. ROLLOW:** Sure.

22 **DR. ZIEMER:** Or those issues.

1 **MR. ROLLOW:** I mentioned earlier an equation that had
2 about 20 variables in it, and you just went through
3 about 16 of those variables. This subject really needs
4 a lot more time than we're going to be able to give it
5 today, so I'll give you a couple of short answers on
6 it.

7 The program -- the legislation tells the Department of
8 Energy not to contest claims that do come through the
9 Subpart D program only, so that's what the order is
10 from the Congress to the Department of Energy. That's
11 what my office does.

12 Commentary on the Workers Compensation process in this
13 country at the state level, it does tend to be an
14 adversarial process. Both sides are challenged to
15 prove their points. The claimant's challenged to prove
16 what they're claiming, and the contractor or the
17 contractor's representatives are challenged to disprove
18 it, or prove the truth lies somewhere else. And so I
19 apologize for that, but that's -- that's the way the
20 process works.

21 To my knowledge, the Department of Energy has not put
22 out a do-not-contest order to other than claims that

1 come through the Subpart D process. And there's
2 reasons for that which we don't have time to go in
3 today, but the state process has to work the way the
4 state process works, and the Federal government has no
5 say in that. And those -- those rules are made up by
6 the states. And we're not asking our contractors to
7 roll over on every claim.

8 Now if there are some over-adversarial relations that
9 take place at contract sites that you're aware of, then
10 those issues might need to be raised either with the
11 Department or with the local management at those sites.
12 So does that answer your question, I hope?

13 **MR. TABOR:** Yes.

14 **MR. ROLLOW:** Thank you.

15 **DR. ZIEMER:** Thank you very much, Tom. We're now going
16 to take our lunch break. I do want to emphasize that
17 there will still be the scheduled public comment period
18 this afternoon, as well. We do thank those who
19 commented already for being willing to move their
20 comments up earlier in the schedule. But again, that
21 doesn't preclude the same individuals or others from
22 commenting later today.

1 We will break for approximately an hour and a half, so
2 let's shoot for 1:15 return time, which is just
3 slightly earlier than what is on the schedule, since we
4 are breaking a half-hour early anyway. So let us
5 return at 1:15, please. And it's okay to leave things
6 here. I gather the room will be locked.

7 **MR. ELLIOTT:** Will be monitored.

8 **DR. ZIEMER:** Or monitored, at least. Thank you very
9 much. We're recessed till 1:15.

10 (Whereupon, a lunch recess was taken.)

11 **DOSE RECONSTRUCTION WORKGROUP**

12 **DR. ZIEMER:** Not quite the gavel, I don't have my gavel
13 today, but we will come to order.

14 We're going to now begin our discussion from the dose
15 reconstruction work group and Mark Griffon will lead us
16 through that, and then we'll have a discussion period.

17 Mark, are you set to go?

18 **MR. GRIFFON:** Yeah. If it's okay, I'd just as soon
19 present from here 'cause I was going to go through some
20 of these documents and we'll probably be shifting
21 documents around, so...

22 Just wanted to give an update on what the working group

1 has done on the dose reconstruction review work. Since
2 the last Board meeting, the working group has had a few
3 other meetings. We agreed to meet in Cincinnati and
4 primarily the purpose of that was to meet with the
5 NIOSH staff and sort of walk through the procedure on
6 how to process claims and -- and work on the other
7 tasks that we needed to -- to complete, which were the
8 site profile review task and the case tracking task.
9 And so we met in Cincinnati September 8th and 9th, and
10 then we did a follow-up conference call on October 10th
11 to finalize some of those documents, and that's what we
12 have here today mainly to focus on is the -- if you
13 remember the last meeting, we had talked about the
14 individual dose review task -- individual dose
15 reconstruction review task, as well as the methods and
16 procedures review task. And the Board voted on those
17 and approved those. And now we have before you -- I
18 think we've passed these out. Right, Paul?

19 **DR. ZIEMER:** In the packet, I believe.

20 **MR. GRIFFON:** In the packet are the site profile review
21 task and the dose reconstruction review tracking task.

22 And then the other document, which you've seen before

1 -- I even think we discussed this other document at the
2 last meeting. It's called the Advisory Board on
3 Radiation and Worker Health Procedure for Processing
4 Individual Dose Reconstruction Reviews. So this is
5 sort of our procedure on how to proceed with the case
6 reviews. And this has been substantially modified from
7 the last time the Board met. So those three items are
8 really the new things that the working group is
9 bringing before the full Board.

10 What I'd like to do is just walk through those and
11 highlight some ma-- you know, significant changes, and
12 then maybe, you know, we can open it up for discussion,
13 if that makes sense.

14 Let's see -- okay. Let me start with that procedure
15 first, the Procedure for Processing Individual Dose
16 Reconstruction Reviews, if people found that. That's
17 the lengthier document, three-page document.

18 **DR. ZIEMER:** In your packet I think it's the second
19 document under that tab. No, third, I'm sorry. Or
20 maybe I have the wrong one.

21 **UNIDENTIFIED:** The one with all the bullets towards the

22 --

1 **MR. GRIFFON:** Oh, it starts with all the bullets at the
2 top, yeah.

3 **DR. ZIEMER:** Okay, got it, right.

4 **MR. GRIFFON:** Okay?

5 **DR. ZIEMER:** It's actually the fourth one, then.

6 **MR. GRIFFON:** Is it the fourth -- the fourth one in the
7 book, yeah. Wanda, you got that?

8 **MS. MUNN:** Well, it's the fifth one in mine.

9 **MR. GRIFFON:** Okay.

10 **MS. MUNN:** I have the bullets.

11 **MR. GRIFFON:** All right. Some of this you'll recognize
12 from the last draft that we worked on with the full
13 Board. Highlights of the changes, and I'm not saying
14 this is every word that was changed, but the main
15 things we addressed -- Section A, Selection of Cases
16 for Review, the main points to note are that the cases
17 are selected by the Board and the cases are randomly
18 selected -- stratified random selection on the
19 parameters of interest. And in a later section, I
20 think we outline -- in the case tracking procedure,
21 actually, we outline some of those parameters of
22 interest. And also in that section we note that the

1 contractor will be responsible for tracking progress on
2 the case reviews.

3 Section B, things here of particular interest to us is
4 that -- if you look at this, we're talking about 25
5 cases every two months, on average, I guess is the way
6 we're looking at this. And that's -- later in this
7 document we mention that that's six cases to four
8 three-Board-member panels. So we -- we -- and the
9 reason we -- we debated on this issue of -- all Board
10 members are going to be involved in reviewing the
11 cases. We thought it made most sense because different
12 Board members are going to rotate off the Board at
13 different times. We thought at the outset everyone
14 should be involved to do some of these reviews so we
15 could construct four-member panel-- three -- or four
16 three-member panels to do the reviews. So that means
17 every two months, each of us is going to have to review
18 six cases. This doesn't really address conf-- you
19 know, conflict of issue -- interest issues whereby you
20 might have to recuse yourself from certain panels and
21 certain cases. But on average, we're saying probably
22 the commitment from Board members is going to be six

1 cases between every Board meet-- every two months.
2 This section also -- another thing to note is that the
3 section does call for a subcommittee. You'll see the
4 dose reconstruction subcommittee, and I think in our
5 working group we agreed and we actually drafted a draft
6 charter for a dose reconstruction subcommittee to
7 oversee the review of the -- of the -- of this process.

8 And you know, one of the primary reasons for that is
9 that, by definition, the subcommittee would have to be
10 an open meeting to -- open to the public, whereas these
11 working group sessions are sort of ad hoc and not open
12 -- you know, not necessarily open to the public. So we
13 thought that once we start the reviews, we should have
14 it more formalized and that it should be an open
15 process, and we're recommending that a subcommittee be
16 formed to do that task.

17 **DR. ZIEMER:** And I might insert at this point, you
18 recall that a working group is more like an ad hoc
19 group. It has a specific, defined task, whereas a
20 subcommittee is an ongoing group. We see this as an
21 ongoing effort now from this point forward --

22 **MR. GRIFFON:** Right.

1 **DR. ZIEMER:** -- as opposed to a single sort of one-time
2 effort thing, and therefore it would call for a
3 subcommittee under FACA rules since it would be an
4 ongoing -- more like a permanent committee of this
5 Board and therefore becomes a subcommittee and we'll
6 have to follow those guidelines in terms of the
7 constitution of the committee, the charter and the
8 rules of engagement. And we'll address that at a later
9 point then.

10 **MR. GRIFFON:** Section C talks about the distribution of
11 data. Some of the primary things we discussed here
12 with the working group was questions about privacy and
13 Privacy Act issues. And I think we came to the
14 conclusion that NIOSH was going to provide de-
15 identified data for all these reviews. Is that -- I'm
16 not sure if you -- we finalized that, but that was the
17 -- yeah.

18 **MR. ELLIOTT:** That was your recommendation.

19 **MR. GRIFFON:** Right. Right, I think NIOSH is looking
20 into the cost and timeliness issues on that -- in that
21 regard, but that -- that is -- our recommendation is to
22 -- that all the -- all the reviews would be done on de-

1 identified data to avoid Privacy Act concerns.

2 Section E -- or Section D was -- it didn't really
3 change. It was the interface of the Board and review
4 contractors with relevant experts, and that section
5 basically remained the same as in the last document.
6 And Section E -- yeah, the main -- one thing to note
7 here is that -- and I think this is for the contractor,
8 which -- which has a -- the contract has been awarded,
9 and I think it's good for the contractor to note in
10 this section that there's a great deal of interface
11 between the Board and the contractor, and that's
12 spelled out in pretty good detail here on how closely
13 the contractor will have to work with the individual
14 panels and the subcommittees and -- and have to present
15 back to the full Board, so they're going to have to
16 take that into account in their -- in their planned
17 work.

18 Section F -- Section F specifies the reports that will
19 come out of this process, the summary reports and
20 that's -- that's -- each panel will provide a summary
21 of the six cases that they review. This is aggregate
22 data. You know, we're not -- we're not bringing a

1 report back on each case that you reviewed,
2 necessarily, but it's a summary of the six cases. And
3 then there's an aggregate report from the Board to HHS,
4 so those are spelled out there.

5 And finally, Section G is recommendations, and I don't
6 think that was greatly modified from the last time.
7 Does it make sense to stop here and discuss this and
8 then go on to the other two then?

9 (Whereupon, Dr. James Melius arrived and joined the
10 Board members at the table.)

11 **DR. ZIEMER:** Yes, I think we should see if there's any
12 questions or comments on this draft. This would be
13 basically an operational document that, if we approve
14 this, it becomes our working guide, as it were. And
15 it's our own document, so it could be changed at any
16 time.

17 **MR. GRIFFON:** Right.

18 **DR. ZIEMER:** It's not like this is a final
19 recommendation to somebody. It's our own process or
20 procedures, which can be added to, modified, whatever,
21 as we get further into the process. But at least this
22 is how, presumably, we believe it should proceed at

1 this time, once we've agreed to it. So comments?

2 Okay, Wanda first.

3 **MS. MUNN:** Are we going to, in one of the other
4 documents, discuss the subcommittee itself? Or is this
5 an appropriate time to be asking questions --

6 **DR. ZIEMER:** We can --

7 **MS. MUNN:** -- like how large do we --

8 **DR. ZIEMER:** -- ask questions --

9 **MS. MUNN:** -- expect this to be?

10 **DR. ZIEMER:** -- now, but that would be a separate
11 action. The makeup -- I lost my volume here. The
12 makeup of the subcommittee -- is the operator back
13 there? I think I lost volume here.

14 **MS. MUNN:** Yeah, you did.

15 **MR. PRESLEY:** Can you turn Dr. Ziemer up just a little
16 bit, please?

17 **DR. ZIEMER:** Or at least turn my mike up. There, it's
18 back, I think. Thank you.

19 But if you have questions now, that is part of the
20 recommendation. But I think it'll be a separate --
21 basically a separate charter and an appointment -- an
22 official appointment of a subcommittee.

1 Also -- this is really getting strong now. Welcome to
2 Jim Melius. Jim, we're glad to have you join us. The
3 record will show that Jim has now arrived, so to speak.
4 So did you want to raise a question on that part,
5 Wanda?

6 **MS. MUNN:** My only question had to do with how large
7 this subcommittee was envisioned to be. I'm thinking
8 the subcommittee, and beyond that, each member of the
9 Board, will be dealing with a certain number of cases.

10 And I guess my -- I can't quite identify how large or
11 how small others are envisioning this subcommittee to
12 be.

13 **DR. ZIEMER:** Well, what the -- the group has
14 recommended that there be a chair, at least two members
15 and a government representative. I believe you
16 envisioned this -- four is the minimum size, I believe.

17 **MR. GRIFFON:** I don't know that we made that
18 recommendation, but yeah, I was thinking of something
19 similar to the size of the work-- the existing working
20 group. You know, not larger than that, but -- and I
21 don't know if there's FACA requirements on the size or
22 makeup of --

1 **DR. ZIEMER:** We can check on that, but at some point we
2 had -- I thought your group had developed that
3 recommendation.

4 **MR. GRIFFON:** I don't -- I don't recall.

5 **DR. ZIEMER:** Well, in any event, then if it's not
6 yours, it's going to be the Chair's. Somebody's got to
7 claim it. Anyway, it would be probably a minimum of
8 four. It might be five. In that -- in that range.

9 **MR. GRIFFON:** In that range, yeah.

10 **DR. ZIEMER:** This would serve as kind of a steering
11 committee, be responsible for -- well, guiding the
12 process, if I can use that terminology.

13 **MR. GRIFFON:** Right. Yeah, I mean I think the
14 subcommittee is going to have some ongoing, you know,
15 work. And I think we -- we did discuss at the working
16 group that, you know, the Board may choose to delegate
17 some responsibilities to the subcommittee, for a lot of
18 reasons, but one of which is just the timing. You
19 know, if -- if the contractor had to wait for the Board
20 to meet each time for certain decisions to be made, it
21 might be -- you know, might be very cumbersome. So one
22 of those tasks might be the case selection process. So

1 there is some work for this subcommittee to do in a --
2 on and above the panels, you know, the individual
3 panels. Jim?

4 **DR. MELIUS:** Yeah. I guess I'm on now. I'm not sure
5 if I'm asking the same question Wanda did, but I think
6 we can assume that this document would work within some
7 structure which we have to talk about, whether it's the
8 -- a subcommittee, whatever. And then we would then
9 sort of fill in some of these -- who would do some of
10 these tasks or how some of this would get -- be made
11 operational at some point. So we would approve or, you
12 know, make whatever appropriate changes are in this
13 document, with the understanding that we would then, as
14 we sort of fleshed out the process, be going back and
15 might -- you know, might -- having said this, you know,
16 the subcommittee will -- you know, based on cases
17 selected by the subcommittee in some way or things like
18 this. Is that how we're envisioning this --

19 **MR. GRIFFON:** Yeah, I think so. Yeah. Yeah.

20 **DR. ZIEMER:** Other questions on Mark's presentation so
21 far?

22 Now we can go ahead and act on this document, which

1 comes, I believe, Mark, as a recommendation of the
2 working group for adoption by the Board. Is that
3 correct?

4 **MR. GRIFFON:** Yes, yes.

5 **DR. ZIEMER:** Or would you rather wait and have in
6 context the other documents that are sort of part and
7 parcel of the bigger package before you vote on them
8 individually? Would you rather hear the rest of the
9 picture first?

10 **MS. MUNN:** I'd rather hear them all and then vote
11 individually.

12 **DR. ZIEMER:** Okay. Anyone object to that?

13 (No responses)

14 **DR. ZIEMER:** Then we'll come back individually and act
15 on each one. Is that agreeable? Any objection?

16 (No responses)

17 **DR. ZIEMER:** Without objection then, Mark will proceed.

18 **MR. GRIFFON:** Okay. The other two documents, the Site
19 Profile Review task is the first one, and this is a
20 task that we generated -- a lot of the language you'll
21 see in the --

22 **DR. ROESSLER:** Second to last.

1 **MR. GRIFFON:** Second to last in the notebook, Gen says.

2 Site Profile Review task is -- again, I think you've
3 seen the first draft of this. A lot of the language
4 was extracted from the RFP. The primary addition to
5 this was in the third paragraph, the large paragraph at
6 the bottom of the page. We added -- tried to add some
7 more specificity to it, since we've seen a few of the
8 site profiles that have come out. One part in
9 particular talks about we're reviewing the worst-case
10 estimates. It's near the bottom of the paragraph, so
11 there's language in there about the fact that they
12 shall review the worst-case estimates -- and NIOSH/ORAU
13 have included in some of their site profiles worst-case
14 estimates, so the -- the -- for the most part, it is
15 similar to the last draft.

16 The other things in -- that has been added to this
17 document is on the second page, Period of Performance
18 and Deliverables. You know, we're asking the
19 contractor up front to give a procedure on the site
20 profile review process and how do they plan to review
21 these site profiles, proceduralize it for us. They
22 would -- they would come back to the Board with that

1 procedure for our approval before they proceed.

2 Also in the Period of Performance, we estimated ten to
3 12 DOE site profile reviews and two to four AWE site
4 profile reviews. This is quite a bit of a larger scope
5 than I think we projected in the initial RFP, but we've
6 also seen a shift -- a lot of these are going to be out
7 early on, and we think this'll probably be front-
8 loaded. In other words, in years two through five of
9 this contract, you -- there wouldn't be as many site
10 profile reviews to do, so a lot of these are going to
11 occur in this first year. And so this is a larger task
12 item than I think I envisualized it when we did the
13 first draft of the RFP. So that -- that was the other
14 specific that was added. We -- we tried to estimate
15 the number of DOE sites and AWE site profiles that
16 would be reviewed.

17 **DR. ZIEMER:** Okay. And this appears to incorporate
18 most of the changes, or maybe all the changes we talked
19 about before, as far as I can tell.

20 Tony, comment or question?

21 **DR. ANDRADE:** Question, quick question. Given that the
22 scope now includes some -- oh, perhaps a minimum of 12

1 sites, including both DOE and AWE sites, then I guess
2 the question is, this task would not really begin until
3 a number of site profiles have been developed by NIOSH.

4 So this task will probably not be issued for some
5 period of time yet?

6 **MR. GRIFFON:** I'm not sure exactly of the timing of the
7 site profiles. I know there are a bunch currently in
8 the hopper and ready for -- almost ready for approval,
9 so I don't know that we would have to delay the task a
10 whole lot before releasing it, but maybe Larry can help
11 us out there, or Jim.

12 **MR. ELLIOTT:** Well, yeah, let Jim answer this question.

13 **DR. NETON:** Yeah, this is Jim Neton. We have a goal to
14 complete a large number of site profiles by the end of
15 this calendar year. I was going to address that
16 tomorrow, but I believe right now we've anticipated 15
17 major sites -- those are DOE sites -- to be completed
18 by the end of the year. Again, that's a goal, a
19 target, you know. There may be situations outside our
20 control that might delay those slightly, but -- but
21 that's the plan.

22 **DR. ZIEMER:** But it certainly appears that there would

1 be no reason to -- to delay getting underway with this
2 part of the task at some level. They're obviously
3 going to be all done at once, anyway, from -- as far as
4 our review's concerned, anyway, so...

5 **DR. ANDRADE:** Okay. Well, my question stems from this
6 concern. I know that the initial site profiles that
7 are being developed are being -- and I don't want to
8 say this in a prejudicial way, but some of the simpler
9 sites, some of the sites that perhaps dealt with one
10 isotope or maybe just a few and had limited operations
11 are being looked at first. And I wonder if that's
12 going to affect the overall product of this particular
13 task.

14 **DR. NETON:** This is Jim Neton again. That's not the
15 case. Actually the site profiles are being completed
16 principally on the number of claims that are out there
17 outstanding, so you -- it will cover the majority of
18 the sites that, you know, had complex isotopic work and
19 that sort of thing. These would be the major DOE
20 facilities covering somewhere approaching 80 percent of
21 our claimant population.

22 **DR. ANDRADE:** Good. Thank you for that clarification.

1 **DR. ZIEMER:** Okay.

2 **MR. GRIFFON:** I know, also, again, you know, with this
3 -- the Board is going to control the selection of the
4 sites for review. I'm not sure how it would work,
5 though, hypothetically, as Tony was saying, if there's
6 not a -- enough sites that we are interested in
7 reviewing that are completed, the profiles. I don't
8 know if this task can be, you know, sort of a no-cost
9 extension kind of idea or -- or how that works. You
10 know, if this -- in other words, if the year's run out
11 and they've only done three site profile reviews, I
12 don't know how that works in the task contract
13 approach, but anyway...

14 **DR. MELIUS:** I have a question along the same line.

15 **MR. GRIFFON:** Jim.

16 **DR. MELIUS:** It would seem to me that we're almost
17 forced to put a lot of the -- as you said, front load
18 on the site profiles and get a number of those done,
19 because if I understood from the last meeting how NIOSH
20 is going to go about doing the individual dose
21 reconstructions now, they're going to be very dependent
22 on the site profiles. So we're going to be evaluating

1 the individual claims, we're going to be referring back
2 to these site profiles as part of that process, and I
3 don't know if this working group has thought through --
4 I mean I don't know if we have enough information yet
5 to sort of figure -- to work this out, but to me, the
6 two are much more intertwined than I think we thought
7 originally they were going to be. We were under the
8 impression that the site profiles would be sort of
9 developed over time, some way built from the individual
10 cases, where now we're going -- starting from the other
11 end. I don't know, you know, whether five years from
12 now there's really any difference. I don't think so,
13 but certainly at this point it's going to be very
14 dependent on -- the initial cases for some period of
15 time are going to be very dependent on what's in the
16 site profiles. At least, you know, a high proportion
17 for each site. So I think -- I think we need to put a
18 large task out early to look at some of those. How we
19 sample from those and so forth I think is a little bit
20 more challenging. I think that's a good point Tony --
21 Tony makes about that. But I do think we're going to
22 have to -- to spend a fair amount of the effort

1 initially looking at those site -- site profiles.

2 **MR. GRIFFON:** And we did base this, you know, this ten
3 to 12 number was based on Dick Toohy's projections and
4 Jim's and Dick's projections on, you know, when they
5 were going to complete -- so we're assuming there's
6 going to be a fair amount of sizeable complex sites
7 that we'd be interest-- you know, be very interested in
8 reviewing.

9 **DR. ZIEMER:** I think here in the procedure you have to
10 proceed as if that's the case. And number two, one of
11 the things that I believe we're asking the contractor
12 to do is recommend to the Board the sampling procedure
13 of the profiles. Is that not correct? In other words,
14 how are we deciding which profiles -- this is not 100
15 percent profile review. Second paragraph says there'll
16 be a review process. Procedure may include
17 recommendations -- let's see -- contractor shall review
18 selected profiles. And part of this -- the contractor
19 has to come to us, I think, and say how are you going
20 to select these, what's the process.

21 **MR. GRIFFON:** Well, we --

22 **DR. ZIEMER:** And whatever that process is, is in a

1 sense independent at that point of whether the
2 profile's done or not. He may select one and we say
3 okay, this is one we want to -- to review now. Oh,
4 it's not done? Well, what then? But --

5 **MR. GRIFFON:** Yeah, I did -- I did call, in this
6 document, for the contractor to develop the site
7 profile review procedure, which could include, you
8 know, the selection process. I do, however, think that
9 that function has to remain a Board function --

10 **DR. ZIEMER:** Yeah, it says --

11 **MR. GRIFFON:** Right.

12 **DR. ZIEMER:** It comes back --

13 **MR. GRIFFON:** It says --

14 **DR. ZIEMER:** -- to the Board for approval.

15 **MR. GRIFFON:** Right. So I agree with that, yeah.

16 **DR. ZIEMER:** Yeah, I mean we --

17 **MR. GRIFFON:** Right.

18 **DR. ZIEMER:** We're not going to let the contractor say
19 I'm going to take the --

20 **MR. GRIFFON:** Right.

21 **DR. ZIEMER:** -- 12 smallest sites and review them.

22 There's got to be some rationale for how they're

1 selected that the Board approves.

2 **MR. GRIFFON:** Right.

3 **DR. ZIEMER:** But once having done that, then you have
4 to turn around and say okay, are those profiles
5 available.

6 **MR. GRIFFON:** Uh-huh.

7 **DR. ZIEMER:** And if not, what do we do. Jim, another
8 comment.

9 **DR. MELIUS:** I think there's also a point, thinking
10 through this process -- for the Bethlehem Steel site, I
11 mean an individual dose, you know, case would -- it's
12 the same as doing the site profile. I think, you know,
13 they overlap so much 'cause it's -- you know,
14 everything was built from that site profile, I think.
15 You go to a Los Alamos or a much more complicated site,
16 then you're going to have a mix of some things covered
17 by the site profile, some not. And we may want to
18 rethink that matrix of how to select cases --
19 individual cases based on how we've done -- you know,
20 what site profile reviews we've done. There's no sense
21 in repeating -- you know, if the entire individual
22 cases depend on the site profile --

1 **MR. GRIFFON:** Right.

2 **DR. MELIUS:** -- there may -- we may not want to put as
3 much effort into that. I mean we want to make sure
4 that they followed it correctly, but it certainly
5 wouldn't take as much time and effort as -- as doing a
6 full site profile. Other cases -- we may want to
7 somehow select cases that aren't well covered by a site
8 profile 'cause those may involve, you know, more
9 difficult technical circumstances. And I think having
10 the contractor do that is -- is I think a good first
11 step in terms of thinking through and -- I mean the
12 only real I guess more complicated site profile we --
13 we've seen, I guess the one of the two is the Savannah
14 River, and so working off of that and based on what
15 information Larry and his staff have already gotten
16 about the mix of cases there, they can probably I think
17 come up with a pretty good plan, but -- provide a good
18 review without a lot of duplication of effort and...

19 **MR. GRIFFON:** That makes sense.

20 **DR. ZIEMER:** Wanda?

21 **MS. MUNN:** How does the working group arrive at the
22 choice of numbers for the number of sites that are

1 going to be reviewed? I guess my real question is what
2 percentage of the total sites that are going to be
3 profiled are we talking about when we say ten to 12?

4 **MR. GRIFFON:** I'm not sure what percentage that works
5 out to, but -- Jim's going to answer that.

6 **DR. NETON:** Yeah, I think -- I think, if I recall, at
7 the working group meeting we actually provided the site
8 profile completion plan for review, and I think at that
9 time we had something on the order of 15, so ten or 12
10 would be the majority of the site profiles that were
11 going to be completed in this calendar year. But they
12 are the major sites.

13 **MR. GRIFFON:** And the -- I guess the rationale for --
14 for picking such a high percentage was that they were
15 going to impact on so many cases anyway, as Jim was
16 talking about, given the -- the presentation we had at
17 the last few meetings. It's pretty clear that, you
18 know, these are going to be relied upon heavily for
19 individual dose reconstruc-- so we thought in the first
20 year it was important to -- to -- for the Board to
21 review many of these, especially for the larger sites,
22 because they're going to impact so many individual

1 cases and how they'll proceed. So we do front-end it -
2 - front-end load it with a high percentage. I don't
3 see that happening in the two, three, four -- you know,
4 further years out of the work.

5 **MS. MUNN:** In the interest of expediting our entire
6 process, there is some question in my mind as to
7 whether or not this large number is justified if we do
8 in fact find, in initial review of some of the earlier
9 large site profiles, that the process and the result
10 appear commendable, reasonable, acceptable.

11 **DR. ZIEMER:** And the point you're making then is that
12 if the -- what you might call the audit process shows
13 that the process is working, that it may be not
14 necessary -- we don't have to validate every site
15 profile. That's not necessarily the job. The job is
16 to audit and find weaknesses in the system.

17 **MS. MUNN:** In the system or the process, and --

18 **DR. ZIEMER:** So you're suggesting that --

19 **MS. MUNN:** -- I guess --

20 **DR. ZIEMER:** -- the number may turn out -- you might
21 want to do more or less, depending on what you find --

22 **MS. MUNN:** That's --

1 **DR. ZIEMER:** -- early.

2 **MS. MUNN:** I guess the word "will" stopped me there,
3 where we say the contractor will perform this certain
4 number. I'm wondering if it isn't -- if it's necessary
5 for us at this juncture to establish such a high number
6 as being absolutely necessary to be done. That's my
7 bottom line question.

8 **DR. ZIEMER:** Anyone on the subcommittee want to respond
9 to that one?

10 **MR. GRIFFON:** Well, I mean I guess -- you know, the
11 rationale in my mind was that -- that the -- especially
12 for the larger sites, that it was going to impact a lot
13 of dose reconstructions and -- I'm not sure that we
14 need that strong of -- you know, maybe we can back it
15 off to "may", but I think the variety of those large
16 sites, too, and the -- in my experience with the
17 variability in the data at some of these large sites,
18 you know, I think that they are unique enough that --
19 you know, it may not be necessarily true that if one
20 was very sound that the next one is going to be as, you
21 know, thorough or whatever. And it impacted on -- you
22 know, I thought it was going to impact a lot on the --

1 on the large number of overall cases, so -- you know,
2 maybe ten to 12 isn't the right number, but we thought
3 we needed a large percentage -- of those major sites,
4 anyway.

5 **DR. ZIEMER:** Others have comments on that issue? Roy.

6 **DR. DEHART:** Actually the wording, as it is written,
7 gives us that flexibility because you're using the term
8 "approximate" and you're giving a range from ten to 12.

9 So it could be eight. But the contractor's going to
10 need some kind of guidance in order to bid on this, or
11 to give a figure, so he's going to --

12 **MR. GRIFFON:** That's part of why we had the numbers in
13 there was to give them something to bid against, you
14 know.

15 **DR. ZIEMER:** Others? Okay. We're looking for items
16 that you feel should be modified. We'll come back and
17 have formal approval again, but -- shall we proceed
18 then?

19 I sense that there is some concern about the
20 specificity, but we want a guidance number for our
21 contractor. The approximate -- I don't know what the
22 plus or minus is on the approximate, but ten to 12

1 sounds like a range. Is it nine to 13 or... But
2 there's sort of a feeling that it's a little bit fuzzy.
3 Is that what you're saying? Is it fuzzy enough or no?
4 Okay.

5 Proceed, Mark.

6 **MR. GRIFFON:** The last new item is the Dose
7 Reconstruction Review Tracking task, and this --
8 basically really, this -- responsibility for the
9 contractor here is to develop a tracking system capable
10 of -- the second paragraph outlines some of the
11 parameters, and we -- in here I think we said that the
12 following types of parameters, to the extent available
13 or some language like that. I can't find it right now,
14 but we left ourselves a little flexibility there, but
15 these are some of the parameters that we may consider
16 selecting across as far as our selection criteria. And
17 we -- we want the contractor to develop a database
18 system to be able to track the cases that are being
19 reviewed on these parameters, and then in addition --
20 once they set up the database, they also have to do the
21 tracking and give sort of progress reports back to the
22 Board so that we know how many cases we've done and

1 what -- what they fit -- you know, how many approved
2 cases did we review versus unapproved. You know, that
3 sort of -- we can get that sort of break-out of -- of
4 what kinds of cases we reviewed, sort of descriptive
5 statistics of the kinds of cases we've reviewed. So
6 that sort of just takes the tracking function --
7 originally I was thinking of that being a subcommittee
8 task, but it makes a lot of sense to have the
9 contractor just to do that task, to track the progress
10 on these tasks -- or on these cases as we're -- as
11 we're doing them.

12 And that's really the crux of that task. Anything to
13 add from the working group? I don't think I missed
14 anything.

15 **DR. ZIEMER:** This probably is something the contractor
16 would have to do for their own purposes, anyway --

17 **MR. GRIFFON:** Right.

18 **DR. ZIEMER:** -- keep track of what they're doing. But
19 we're making it a formalization where they provide that
20 data to the Board on a regular basis. Are there any
21 questions on this document, or items that need
22 clarification, or additional parameters that should be

1 included?

2 Tony?

3 **DR. ANDRADE:** Is it envisioned that the same contractor
4 will be doing -- for example, is the three levels of
5 review on individual cases?

6 **MR. ELLIOTT:** There is only one contractor, so the same
7 contractor will be doing all tasks that you place
8 before them.

9 **MR. GRIFFON:** Have you announ-- have you announced the
10 award of the contract or... Can we announce that?

11 **DR. ZIEMER:** It can be announced. Let's finish this
12 discussion and then we'll ask the staff to formally
13 announce the outcome of that bidding process.

14 Tony, was your question answered?

15 **DR. ANDRADE:** Yes.

16 **DR. ZIEMER:** All right. Mark, any other issues?

17 **MR. GRIFFON:** Those are the -- the three new items that
18 we worked on. I did -- the only -- there was one other
19 thing that came up during the development of the
20 procedure for processing individual claims, the first
21 thing that we looked at with the bullets here. One
22 issue came up and Jim -- Jim's comment reminded me of

1 this, that we -- we will be doing a lot of the site
2 profiles sort of at the front end of this process. A
3 question came up as to whether -- when the cases would
4 be available for review for the Board. And I guess the
5 working group has taken the position that -- that once
6 we have -- and -- and please step in if I get this
7 language wrong, but once we have DOL's final approval,
8 is that the correct language? Once we have DOL's final
9 approval, our work -- the work group's recommendation
10 is that those cases should be available for review.

11 **DR. ZIEMER:** Now we can ask Jim to clarify this, or
12 perhaps one of the legal staff, but I believe there was
13 a brief time period -- that might have been as short as
14 30 days after -- after DOL -- well, let's ask -- let's
15 ask Jim or the staff to clarify when the cases would be
16 available for review.

17 **MR. ELLIOTT:** They would -- they would be available for
18 review -- is this not working?

19 **DR. ZIEMER:** There you go.

20 **UNIDENTIFIED:** It's got a short in it.

21 **MR. ELLIOTT:** Can you hear me now?

22 **MR. PRESLEY:** No.

1 **MR. ELLIOTT:** No, you can't hear me now? Bad mike.
2 Cases would be -- oh, this is a good mike. The Chair
3 got the best mike. I'll have to back away.

4 **DR. MELIUS:** You got the fuzzy bureaucrat mike.

5 **MR. ELLIOTT:** I got the fuzzy bureaucrat --
6 governmentspeak. The cases would be available for
7 review upon the final decision from DOL. And as long
8 as the cases is not in appeal, it would be available.
9 Does that answer your question? Does that help? So
10 once there is a final decision from DOL and there is
11 apparently no appeal underway, that case would be
12 eligible for your audit.

13 **DR. ZIEMER:** Did that answer the question? Whose
14 question was that? Mark's, oh, okay.

15 Okay, let's go back now and see where we are. I want
16 to ask a question -- we have in the packet a couple of
17 documents that we previously approved. One is the
18 individual dose reconstruction review.

19 **MR. GRIFFON:** Right.

20 **DR. ZIEMER:** But I notice that in this version there
21 are a couple of marginal notes that have been added,
22 which I think grow out of the presence of the three new

1 documents.

2 For example, statement of work on individual dose
3 reconstruction review, the comment in the margin now
4 says I believe this is what you have have -- this
5 doesn't make sense -- what you have having done -- I
6 guess what you are having done under a separate task,
7 so you may want to delete this paragraph, referring to
8 paragraph two.

9 Is that saying that it is now covered in one of these
10 new documents and therefore doesn't need to be
11 mentioned here? Or what -- what is that?

12 **MR. GRIFFON:** I don't know whose -- who made those
13 comments, actually. Did -- who -- these are new to me,
14 these comments. But the notion there -- this language
15 has been carried through every draft and it's nothing -
16 - you know, we had the procedures review before. The
17 idea was that even when they're doing individual case
18 review they should -- they're going to be looking back
19 at the procedures, also. It's not going to be maybe a
20 thorough review, but they're going to say it's
21 consistent with the approach. It might be as simple as
22 a check in a box on that level. It's not going to be,

1 you know, detailed review of the entire procedure and
2 method.

3 **DR. ZIEMER:** Right. Well, and also there's a marginal
4 comment on the second page. I'm just asking where
5 these comments --

6 **MR. GRIFFON:** Yeah.

7 **DR. ZIEMER:** -- come from. Are they from the sub--
8 working group? This is the individual dose
9 reconstruction review, a document I think that we've
10 approved previously.

11 **MR. GRIFFON:** Yeah, I think what might --

12 **DR. ZIEMER:** There you are. There now appear some
13 marginal notes which suggest some changes, and I was
14 puzzled as to what that meant.

15 **MR. GRIFFON:** I think -- 'cause if I see on page three,
16 the comment about the phone interview, I think these
17 are old comments that unfortunately --

18 **DR. ZIEMER:** They disappeared in the file.

19 **MR. GRIFFON:** Right, when I forwarded the file, I
20 didn't delete these hidden bubble comments.

21 **DR. NETON:** That's correct, Mark. I think these are
22 errant commen-- I mean they were in there, but when you

1 removed the track changes mode, they shouldn't appear
2 and somehow these -- this was printed out and --

3 **DR. ZIEMER:** So just X them out then?

4 **MR. GRIFFON:** X them out, yeah, they're no longer -- I
5 think they've been addressed, yeah.

6 **DR. ZIEMER:** And that's true also --

7 **MR. GRIFFON:** I'll make sure I provide the right copy.

8 **DR. ZIEMER:** -- of all three pages.

9 **DR. MELIUS:** Good thing they're all polite.

10 **MR. GRIFFON:** Yeah, just don't look at those comments.

11 **DR. ZIEMER:** Okay. So you have in your packet the
12 approved individual dose reconstruction review
13 document. You have the approved --

14 **MR. GRIFFON:** Procedures and methods.

15 **DR. ZIEMER:** I'm looking for the title -- Dose
16 Reconstruction Procedure and Method Review. Is that
17 correct, Mark?

18 **MR. GRIFFON:** Uh-huh.

19 **DR. ZIEMER:** The new Site Profile Review task that was
20 just discussed, the new Procedure for Processing
21 Individual Dose Reconstruction Reviews, and the new
22 Dose Reconstruction Review Tracking document.

1 Now let's go back -- the first one that was discussed
2 today is the Procedure for Processing Individual Dose
3 Reconstruction Reviews. This comes as a recommendation
4 from the working group, constitutes a motion, requires
5 no second. Is there further discussion on this
6 document?

7 (No responses)

8 **DR. ZIEMER:** Are you ready to vote? I remind you that
9 if this document approves -- or is approved, it
10 becomes, in essence, our working document. It is not
11 forever cast in stone. We can change it at any time,
12 upon action of the Board.

13 All those who favor adopting the Procedure for
14 Processing Individual Dose Reconstruction Reviews, say
15 aye.

16 (Affirmative responses)

17 **DR. ZIEMER:** Those opposed say no.

18 (No responses)

19 **DR. ZIEMER:** Those abstaining?

20 (No responses)

21 **DR. ZIEMER:** The motion carries.

22 Next the Site Profile Review task. This comes as a

1 recommendation from the working group and hence
2 constitutes a motion and does not require a second. Is
3 there further discussion on that document? With the
4 inclusion of the ten to 12 site reviews.

5 Wanda, you started to pull the mike towards you there?

6 **MS. MUNN:** Yes, I remain somewhat concerned about the
7 establishment of that large a number. I understand the
8 rationale, but I -- I'm uncertain myself whether
9 greater specificity or less specificity is wiser in
10 situations like this. The ultimate question really is
11 do we wish for a given number of site profiles to be
12 done, regardless of what the findings of the first
13 reviews show. That's really the issue.

14 **DR. ZIEMER:** Let me suggest something here and then
15 we'll ask Gen Roessler also to comment. I believe the
16 Board can change the task at any time, can it not? For
17 example, if we wish to have more sites reviewed, what
18 do we do? This -- initially this gives us a parameter
19 against which the contractor can bid. Suppose, once
20 the contractor's underway, we say well -- suppose we
21 say you know what, we only need five reviews, or maybe
22 we need 20. What do we do? What's the process for

1 that?

2 **MR. ELLIOTT:** You can very well add to your task. You
3 can modify the task and add work to it as it proceeds.

4 At the same time, you need to be very careful and --
5 you're placing a scope of work in a task in front of
6 your contractor, and you need to get them to propose
7 against that. And when you award that task, that's
8 what needs to happen. So if you -- along the way, you
9 can't back away. If you say we want X done, that's
10 what the contractor's going to go forward and do.
11 Okay? So you can state what you want done. You can
12 add to it, but you can't take away from it. Once
13 you've agreed to what it is you want done and how much
14 it's going to cost, then you must proceed along those -
15 - that course.

16 **DR. ZIEMER:** It appears that it might be better to have
17 a lower number and -- with the flexibility of
18 increasing, rather than a larger number with no
19 flexibility for decreasing.

20 Gen Roessler.

21 **DR. ROESSLER:** I just don't think this is a large
22 number, in view of the fact, as Mark stated, that

1 there's going to be -- there are so many sites that are
2 so different from each other that we want to make sure
3 that the contractor looks at enough of them to make
4 sure that it's being done properly. And the fact that
5 we say approximately in there I think also gives us a
6 little flexibility. I'd stay with what we've got.
7 That was the intent, was the idea that there's a wide
8 variety of different sites that should be looked at.

9 **DR. ZIEMER:** Okay. Thank you. Other comments? Jim?

10 **DR. MELIUS:** I would just add to that, given the
11 current work plan from NIOSH, which is so -- so
12 dependent on these site profiles for doing individual
13 dose reconstructions, I think it is -- given that work
14 plan, I think it's critical that we do a significant
15 number and I think -- I agree with Gen that this is a
16 reasonable number to -- to be looking at under that
17 plan.

18 **DR. ZIEMER:** Okay. Others want to speak? We don't
19 have a proposed amendment on the floor, but we're
20 trying to get a sense of whether -- probably whether
21 there should be an amendment proposed. Other comments?
22 Maybe the degree of comfort or discomfort that others

1 may feel with the number would be helpful for -- for
2 the group. Roy?

3 **DR. DEHART:** As I was suggesting before, I think we
4 have flexibility. And more importantly, I think we
5 have a need to get the -- get the site surveys checked
6 quickly.

7 **DR. ZIEMER:** Okay. Further comments? Tony.

8 **DR. ANDRADE:** I think given the process that Larry
9 described insofar as our ability to -- and flexibility
10 to add scope to a given task, I think Wanda's comment
11 is -- is very appropriate. And also given what we
12 heard from Jim earlier that even some of the very
13 complicated sites are going to be looked at, a site
14 profile may very well be a very large compendium of
15 data. At Los Alamos you're going to be looking at
16 uranium operations, plutonium operations, accelerator
17 operations, production of radioisotopes thereof, et
18 cetera, et cetera, et cetera. I mean that's just one
19 facility, one member of the complex. Hence I would be
20 -- my inclination would be to start with something like
21 perhaps five total, and then add to the scope if the
22 Board deems it necessary or of interest.

1 **DR. ZIEMER:** Leon? You need a mike? Get a mike there.
2 That one may be -- is that working now? It doesn't
3 work.

4 **MR. OWENS:** Dr. Ziemer, I'd like to commend the working
5 group for its job that it's done, but at this point I
6 would like to call for the question.

7 **DR. ZIEMER:** The question's been called for. The Chair
8 can recognize that as a motion to end debate, or I can
9 give you one last chance if anyone wants to make an
10 amendment. Calling for the question is, in essence, a
11 motion to end debate, which requires a vote in and of
12 itself. Are you making a formal motion to limit
13 debate?

14 Is there a second?

15 **MR. ESPINOSA:** Second.

16 **DR. ZIEMER:** There's no discussion allowed. It
17 requires a two-thirds vote to end debate. All in
18 favor, aye?

19 (Affirmative responses)

20 **DR. ZIEMER:** Opposed?

21 **MS. MUNN:** No.

22 **DR. ZIEMER:** I think the ayes have it.

1 **MS. MUNN:** I think they do.

2 **DR. ZIEMER:** Ten to two.

3 **UNIDENTIFIED:** Eight to two.

4 **DR. ZIEMER:** Eight to two, the ayes have it, and debate
5 is ended and we now call for the motion, which is to
6 adopt this document.

7 **UNIDENTIFIED:** So moved.

8 **DR. ZIEMER:** It doesn't -- it's on the floor. There --
9 this would be the document as presented by the working
10 group. Thank you.

11 All who favor adoption of this document, say aye.

12 (Affirmative responses)

13 **DR. ZIEMER:** Those opposed, say no.

14 (No responses)

15 **DR. ZIEMER:** Any abstentions?

16 **DR. ANDRADE:** (Indicating)

17 **DR. ZIEMER:** Thank you.

18 **UNIDENTIFIED:** One abstention.

19 **DR. ZIEMER:** One abstention, okay. Let the record show
20 that there is an abstention. Thank you.

21 Now if I am tracking correctly, I think we're on the
22 tracking document. Is that correct?

1 **MR. GRIFFON:** Correct, yes.

2 **DR. ZIEMER:** I hate to get off-track. Okay. The
3 document now that comes before us is Dose
4 Reconstruction Review Tracking. Again, this comes as a
5 recommendation from the working group and constitutes a
6 motion, does not require a second. Is there further
7 discussion on this document?

8 (No responses)

9 **DR. ZIEMER:** Are you ready to vote? It appears that
10 we're ready to vote.

11 All in favor, say aye.

12 (Affirmative responses)

13 **DR. ZIEMER:** Any opposed, no.

14 (No responses)

15 **DR. ZIEMER:** Any abstentions?

16 (No responses)

17 **DR. ZIEMER:** Motion carries. Thank you. Let me thank
18 the working group for their efforts. They've spent
19 considerable time, both in a special meeting in
20 Cincinnati, phone conference and even meeting early
21 this morning to finalize things, so we thank them for
22 their excellent work.

1 **BOARD DISCUSSION**

2 It would be appropriate now if we had information on
3 the awarding of the task contract. Larry, would you
4 want to do that -- or Jim, or who's...

5 **MR. ELLIOTT:** The contract for technical support to the
6 Advisory Board on Radiation and Worker Health has been
7 awarded to Sanford Cohen & Associates. I believe that
8 award is on our web site now. This is a five-year
9 award for \$3 million and we welcome them to -- to this
10 work. I anxiously await and look forward to a review
11 of our dose reconstruction program and where we might
12 improve it.

13 **DR. ZIEMER:** Thank you very much. Any questions at
14 this point?

15 (No responses)

16 **DR. ZIEMER:** Okay.

17 (Pause)

18 **MR. ELLIOTT:** Okay, here's an amendment -- an amendment
19 to my announcement. It's not on our web site yet
20 because we are -- as I've just been told, we're working
21 with procurement to make a proper announcement on our
22 web site. There's certain pieces of their proposal

1 that can go on -- and we've gone through this with our
2 ORAU team. I should know better than to think we can
3 just put up a whole document. So we're working with
4 procurement to get the right pieces that will be
5 presented on our web site.

6 I think it would be good if we could take some time and
7 talk about the subcommittee and how -- since Cori's in
8 the room, we may need her input on how a subcommittee
9 functions or may function and may not function with
10 regard to reporting and delivering information and
11 products and carrying on the will of the Board. So I
12 would encourage you to hold that discussion.

13 **DR. ZIEMER:** Let's begin by asking Cori if you or one
14 of the staff could review for us sort of what I might
15 call the rules of engagement for a subcommittee in
16 terms of FACA requirements for meeting and related
17 issues. I know that we have to have a charter. I
18 believe the Chair appoints the members of the
19 subcommittee, but we need to have a charter, and what
20 other rules must we follow?

21 **MS. HOMER:** Okay, establishing a subcommittee, we have
22 to provide, first off, a subcommittee name and identify

1 membership. The chair of the subcommittee must be a
2 member of the parent committee. That's generally a
3 person selected through discussion with the Executive
4 Secretary and the Chair of the parent committee. Other
5 members -- we should have at least two other members of
6 the parent committee in addition to the chair
7 appointed.

8 We have to identify the function of the subcommittee
9 and frequency of meetings. It doesn't have to be
10 extremely specific, but we do have to provide some
11 information about how frequently they plan on meeting.

12 The name of the Executive Secretary must be
13 identified, as well.

14 I have rules for a subcommittee. To begin with, a
15 subcommittee doesn't function independently of the full
16 committee. The subcommittee must report back to the
17 parent committee and not directly to the agency. All
18 members of the subcommittee have to be members of the
19 parent committee. External consultants can be invited
20 to share their expertise with the subcommittee, but
21 cannot participate as members in any way.

22 Subcommittees established do not have to be chartered

1 separately, but are covered by the charter of this
2 parent committee. We can establish a subcommittee
3 based on the full charter.

4 Subcommittees are subject to all other requirements of
5 the Federal Advisory Committee Act. We have to
6 announce subcommittee meetings, as we do full committee
7 meetings, in the *Federal Register* within a certain time
8 frame in advance of the meeting. They must be open to
9 the public, unless covered under a Privacy Act --
10 specific Privacy Act clause that would allow us to
11 cover -- to close that meeting. Minutes must be kept
12 and a designated Federal official must be present at
13 all the meetings.

14 There aren't any specific written guidelines about how
15 a chair is selected or who does that, but again, it's
16 generally done by the Executive Secretary and the Chair
17 of the parent committee.

18 Any questions?

19 **DR. ZIEMER:** Now Cori, could you clarify -- aside from
20 minutes, is there a transcript required, as well, or
21 just minutes?

22 **MS. HOMER:** Minutes are required, transcript is not.

1 That would be at the discretion of probably the agency
2 as the transcript is at the discretion of the agency
3 for the full committee.

4 **MR. OWENS:** Dr. Ziemer?

5 **DR. ZIEMER:** We have a question. Leon?

6 **MR. OWENS:** Cori, you did say that the chair and the
7 committee members normally are appointed by the Chair
8 of the parent and the Federal member, but that's not
9 necessarily rules per FACA.

10 **MS. HOMER:** No, no, that is not specifically rules
11 because there are no rules in the Federal Advisory
12 Committee Act on how that's done. But the Centers for
13 Disease Control and HHS, that's been the process.

14 **DR. ZIEMER:** Thank you. Other comments or questions?
15 Oh, Jim Melius has --

16 **DR. MELIUS:** Yeah, I'm not sure this is a question for
17 Cori, but I guess -- I think what I'm trying to
18 understand is what advantage -- why do we need a
19 subcommittee as opposed to working groups? What are
20 the pros and cons, 'cause I think that's the real issue
21 here. It seems to me that subcommittee adds -- I'm not
22 sure it's a necessary level of formality to this and I

1 think that's what we need to try to understand and --

2 **DR. ZIEMER:** Let me partially answer that and Cori can
3 probably help me out, but my understanding is that a
4 working group is more of an ad hoc group that has a
5 specific task to carry out, and when the task is done,
6 it's done. It's sort of a one-time thing. Now as to
7 our working group, the task took a while to finish, but
8 that was the task.

9 Whereas a subcommittee is more like a standing
10 committee that has ongoing responsibility, and that's
11 how this was being envisioned, ongoing responsibility
12 to oversee the dose reconstruction review process.

13 **MS. HOMER:** That's -- exactly.

14 **DR. ZIEMER:** And there may be some other issues.

15 **MR. ELLIOTT:** If I may, I think the pragmatic benefit
16 of having a subcommittee perform the work that you've
17 identified here in this one document -- selecting
18 cases, identifying, you know, who's going to serve on
19 the panels to review what the contractor and those kind
20 of things -- makes more sense than having the whole
21 committee meet. I think there's been some discussion
22 about how many meetings it will take to accommodate and

1 make this happen, and do you want the whole Board to
2 meet. It's certainly your prerogative, your
3 discretion. You can decide if the whole Board needs to
4 meet on a more frequent basis than you've been meeting,
5 or you want to have a subcommittee handle some of these
6 kinds of day-to-day functions in setting up your review
7 process.

8 Does that help, Dr. Melius?

9 **DR. MELIUS:** It helps, it just --

10 **DR. ZIEMER:** You were asking about work group versus --

11 **DR. MELIUS:** Yeah, but I'm trying to think -- again,
12 it's sort of the pros and cons of doing this and so
13 forth. And one clearly relates to how often we meet,
14 but -- you know, I hadn't expected that to change, I
15 guess, hadn't thought about it.

16 **MR. ELLIOTT:** It would get worse, I think, if -- but --

17 **DR. MELIUS:** Is somebody from the -- I guess the
18 working group, or has someone done a schedule to think
19 through a time frame of when certain things would get
20 done and so forth and what the amount of time required
21 would be?

22 **DR. ZIEMER:** For this subcommittee, or --

1 **DR. MELIUS:** For this subcommittee or for this -- for
2 this review process. Then I -- I think the -- whether
3 it's a subcommittee or a working group or how we
4 accomplish it is -- is secondary. I was just trying to
5 get a better handle on what -- what's involved in --
6 there's some -- you know, frankly, if we're meeting
7 every month anyway, what difference does this, you
8 know, make? I mean the subcommittee's going to meet as
9 part of the meeting, so it seems to me that all we're
10 doing is making it a little bit more complicated that
11 there's a separate set of minutes rather than the
12 minutes being, you know, reported in the working -- a
13 working group reports back to us.

14 **DR. ZIEMER:** Yeah, this -- this group, for example --
15 based on our documents -- would be the one that --
16 working with the contractor who establishes the cases
17 that would be reviewed, I guess, and assigns them out
18 to specific Board members. It's a sort of -- as I
19 would see it -- a steering group type of thing.

20 **MR. GRIFFON:** Yeah, we did -- I mean we talked about a
21 number of things that -- number of responsibilities
22 that could be delegated to the subcommittee if the

1 Board decide, and that's where I think we weren't sure
2 yet. We -- but some of the things we were talking
3 through when we were talking about these tasks that are
4 released, and the contractor comes back with a proposed
5 work, there are a number of -- of points where the
6 Board needed to approve or the subcommittee needed to
7 approve, and we were -- we were concerned about some of
8 these points being two-month delays each time when they
9 -- you know, they've got a year period here to do a
10 task. And if they have a product that the Board needs
11 to review -- one week after our meeting say they're
12 completed with a product, maybe there's certain of
13 those tasks -- maybe not all of them, but certain of
14 those tasks that can be delegated to a subcommittee to
15 expedite that process. That was part of the thinking.

16 **DR. ZIEMER:** One of the -- The other -- Let me
17 interrupt, though, at this point -- related issue is
18 what authority does the subcommittee have --

19 **MR. GRIFFON:** Right.

20 **DR. ZIEMER:** -- in acting on behalf of the Board.

21 **MS. HOMER:** They cannot act on behalf of the Board.

22 **DR. ZIEMER:** They cannot act on behalf of the Board.

1 **MR. GRIFFON:** No.

2 **MS. HOMER:** Well, there is a way that --

3 **DR. ZIEMER:** Unless the Board does what?

4 **MS. HOMER:** Provides very specific authority to act on
5 their behalf.

6 **DR. ZIEMER:** Yeah, on a specific issue.

7 **MS. HOMER:** But we have to be very specific about that.

8 **DR. ZIEMER:** Go ahead, Mark.

9 **MR. GRIFFON:** Well, I -- I guess the other -- the other
10 benefit to the subcommittee as opposed to a working
11 group -- this is not subcommittee versus full Board,
12 but subcommittee versus working group -- was the idea
13 that going forward -- just the openness of it, that it
14 would be open to the public and we wanted to make sure
15 that, as we're having these subcommittee meetings and
16 discussing groups of cases, that that process would be
17 opened, you know, so that was another part of the --
18 but that...

19 **DR. MELIUS:** But -- but -- I guess my concern there
20 would -- 'cause I was actually thinking the opposite.
21 I mean one of the concerns I have about a subcommittee
22 is it becomes -- it's not as transparent to the public.

1 I mean not that there's not huge numbers of public
2 people that, you know, come to all our meetings and so
3 forth, but the fact that they're sort of open
4 discussion of anything that's of public interest I
5 think is useful. And I mean, you know, in reality, are
6 people going to go to the subcommittee meetings? It
7 seems to me they're going to be relatively short and so
8 forth. And then I guess related to that is what's the
9 burden on the subcommittee in terms of meeting? Are
10 these going to be the kinds of tasks or activities that
11 they're going to have to meet person as opposed to
12 conference call? And with formal announcements and so
13 forth, so -- I mean do people want to -- do people on
14 the subcommittee want to put that amount of -- amount
15 of -- are they going to want to put that amount of time
16 and effort in with meetings between meetings if those
17 meetings are going to have to be in person?

18 **DR. ZIEMER:** Okay. Cori, did you have any additional
19 items on the structure or the rules for a subcommittee
20 that you wanted to add to that?

21 **MS. HOMER:** Not particularly, no.

22 **DR. ZIEMER:** Any others want to either respond or make

1 other points on what -- issues that have been raised?

2 Yeah, Roy?

3 **DR. DEHART:** As I remember, going back in history, as
4 we were talking about the purpose of the subcommittee
5 and what its function would be, we were also looking at
6 the Board and trying to determine how often or
7 frequently the Board would meet. And I think we've
8 decided quarterly is going to be sufficient, because
9 the subcommittee is going to be able to take care of
10 this kind of -- of function. Isn't a quarter
11 arrangement for -- what we determined for travel and so
12 on?

13 **MR. GRIFFON:** I don't -- I don't -- I know we had that
14 discussion. I'm not sure we came to a conclusion on
15 that. That was part of the discussion was, you know,
16 maybe some of those in-between periods could be covered
17 with subcommittee meetings. But then -- you know, I
18 guess if -- if we had to be very specific on what
19 powers could be delegated to the subcommittee, that may
20 be problematic, too, so I don't -- you know.

21 **DR. ZIEMER:** Let me read something to you. I don't
22 think I wrote this. I think your group did, Mark.

1 It's called Subcommittee for Dose Reconstruction
2 Review. It's dated October 7th, which is the date when
3 you guys --

4 **MR. GRIFFON:** Right.

5 **DR. ZIEMER:** -- had your conference call. This
6 appeared in my e-mail. I don't think it's spam.

7 **MR. GRIFFON:** I think it is.

8 **DR. ZIEMER:** Let me read it. (Reading) The
9 subcommittee will be responsible for the following
10 tasks related to dose reconstruction review: Negotiate
11 with contractor over individual tasks (costs and
12 technical scope), case selection for individual dose
13 reconstruction reviews, case assignments, taking into
14 account conflict of interest and a balance of
15 scientific, medical or worker perspectives, development
16 or revisions of procedures related to dose
17 reconstruction review, and prepare draft report from
18 review panels including dose reconstruction review
19 summary reports, procedures review reports and site
20 profile review reports.

21 Under procedures approved by the Board, the
22 subcommittee may be authorized to make decisions on

1 behalf of the Board for specific responsibilities as
2 delegated by the Board.

3 Does that sound familiar to any of the working group?

4 **MR. GRIFFON:** I don't know where it came from -- no.

5 Yeah. Yeah, certainly we -- certainly we drafted that.

6 **DR. ZIEMER:** Okay.

7 **MR. GRIFFON:** But -- and I --

8 **DR. ZIEMER:** But as a starting point --

9 **MR. GRIFFON:** Right.

10 **DR. ZIEMER:** -- that gives an idea of --

11 **MR. GRIFFON:** Yeah.

12 **DR. ZIEMER:** -- the kind of thing we were --

13 **MR. GRIFFON:** Right.

14 **DR. ZIEMER:** -- thinking about.

15 **MR. GRIFFON:** Right. And -- and -- but we -- we did
16 also, in that meeting, question the, you know, rules
17 under FACA and --

18 **DR. ZIEMER:** Right.

19 **MR. GRIFFON:** You know, so there's some...

20 **DR. ROESSLER:** I think one of the motivations for
21 having the subcommittee was looking at the difficulty
22 that we have in getting the whole Board together for

1 any meeting, I think if you reduce the number by half
2 or less, there must be some formula somebody can come
3 up with, but it just makes it much easier to get that
4 group together. And if they have a specific task that
5 the Board as a whole has assigned to them and given the
6 committee the right to do it, then it can be done in a
7 much more efficient manner. That's -- I'm just
8 repeating what I think our discussions were.

9 **DR. ZIEMER:** Perhaps the initial idea at least was that
10 going forward, the main activity of this Board might in
11 fact be reviewing dose reconstructions since the rule
12 making is over and that's past and that sort of thing.

13 And I think the idea was that perhaps the Board itself
14 as a full Board would not have to meet as frequently
15 and that such a subcommittee might work with the
16 contractor in getting the reviews done and meeting with
17 -- and having the meetings in between the main Board
18 meeting, whatever frequency that was. But perhaps the
19 full Board can do that job.

20 **DR. DEHART:** There was another concern, too, and that
21 is there is another major workload awaiting us,
22 perhaps, and that's the special cohort. And the Board

1 will be fully engaged in that.

2 **DR. MELIUS:** Two comments, though if I recall right
3 that there also will be -- each of us on the Board or
4 many members of the Board, I'm not -- will be involved
5 in doing the individual dose reviews. Now some of
6 that's conference call, some of that may be in person.

7 I don't know if we've really -- that's been thought
8 through or described to me or I've forgotten, but -- so
9 for -- be lots of meetings anyway as this -- as this
10 effort goes on.

11 And secondly, I guess I'm still a little confused on
12 this whole contractor thing exactly what a subcommittee
13 can do and how it has to go -- I think if we do
14 delegate specific tasks related to the contract to
15 them, then those meetings have to be in person.

16 Correct? Those can't be by conference call? Or they -
17 - I'm just --

18 **MR. ELLIOTT:** No, they -- once a task order is let,
19 they can be -- to negotiate a task order may require a
20 closed session with the contractor to discuss dollar
21 figures.

22 **DR. MELIUS:** Okay.

1 **MR. ELLIOTT:** It may not.

2 **DR. MELIUS:** Uh-huh.

3 **MR. ELLIOTT:** But once it's let, once it's awarded to
4 be done, then you could have whatever meetings you
5 wanted to have with a subcontrac-- with your contractor
6 could be done by phone or in person.

7 **DR. MELIUS:** Okay.

8 **MR. ELLIOTT:** Just I want it to be clear for the record
9 that we're not advocating one way or the other. We
10 just want to help the Board do the work, and it
11 certainly is the Board's discretion to decide how best
12 to do this work. So we stand here at the ready to help
13 you do that.

14 **DR. ZIEMER:** Other comments? Mark?

15 **MR. GRIFFON:** I think it was -- you know, a lot of it
16 was for efficiency, being -- you know, we see a lot of
17 work ahead for the entire Board, and part of the
18 reasoning was to make it more efficient. But if it --
19 if it -- if we come to the conclusion that there's only
20 certain tasks we're willing to delegate to a
21 subcommittee and it's not going to add much to the
22 efficiency, I don't know if it's -- you know, then it

1 may not be as useful of a concept, so...

2 **DR. ZIEMER:** There seems to be some level of
3 uncertainty whether this is needed or not. One thing
4 that could be done would be to say we will form the
5 subcommittee when we're convinced we need the
6 subcommittee. If that's not this meeting, it can be at
7 the next meeting, or never. Do we need to get some
8 experience with the contractor first and kind of get a
9 feel for what the workload's going to be in terms of
10 individual dose reviews plus whatever is needed to
11 monitor and oversee the contractor? I don't know.
12 What's your feeling? I mean this is a Board decision.

13 I'm quite willing to appoint a subcommittee if the
14 Board believes it needs one to proceed.

15 Mike, Jim, Roy -- Mike.

16 **MR. GIBSON:** It's my feeling that I believe that we
17 need to get to know a little bit about the
18 subcontractor. We need to let them get to know a
19 little bit about us and our expectations, and just get
20 familiar with each other before we decide what might be
21 the best path forward for the process.

22 **DR. ZIEMER:** Thank you. Jim?

1 **DR. MELIUS:** Yeah. I think that having a subcommittee
2 relatively soon would -- is going to be necessary in
3 terms of dealing with the contractor and negotiating
4 some of the tasks and so forth, if I understand that
5 process right. I have some concerns about delegating
6 too much to a subcommittee in -- in losing some of the
7 transparency and some of the involvement of the Board
8 in decisions in terms of the credibility of the overall
9 -- all process because -- for lots of reasons, just --
10 selection of cases, there's going to be concerns about
11 and -- 'cause it's not going to be every case that's
12 looked at obviously and people will have concerns.
13 I think what I'd like to think would work procedurally
14 is that for either the working group or a new working
15 group to come back for our next meeting with a -- I'd
16 like to see a specific charge for the subcommittee and
17 a document that's a little longer than that, sort of
18 figure out a schedule, what they would specifically do,
19 how they would report back and what -- and what
20 specifically we would need to delegate to them in order
21 to make the contracting task order process work well,
22 as well as the individual views. And I think that --

1 you know, in the next couple of months, with the
2 contractor in place, I think that could be accomplished
3 and I don't know if we're still planning on meeting in
4 December or early next year, but by that time have it
5 place -- something in place. I think that would work
6 in keeping efficient process.

7 **DR. ZIEMER:** Okay, thank you. Roy, did you have a
8 comment, too?

9 **DR. DEHART:** Yes, I want to jump in on Jim's comments
10 because those were some of the ones that I wanted to
11 make. I think before we can vote on what a
12 subcommittee's going to do, I think we need to know
13 what the charter of the subcommittee is.
14 Having concern about delegating I think is not really a
15 major concern. This Board always has the prime
16 responsibility of what happens, including everything
17 that happens with the subcommittee, and the Board, by
18 vote, can end the subcommittee. So I see no reason not
19 to form the subcommittee, as long as we keep in mind
20 that we are overseeing whatever happens within that
21 subcommittee.

22 **DR. ZIEMER:** Thank you. Wanda?

1 **MS. MUNN:** It would seem logical and wise to me that a
2 brand new contractor would, at a very minimum, want to
3 become familiar with the personalities on this Board,
4 to at least read the transcript of this discussion
5 here, and therefore be prepared to bring to us any
6 concerns or any questions that they might have, given
7 the documents that we have just approved today.

8 **DR. ZIEMER:** Thank you. Other comments? Okay. Larry?

9 **MR. ELLIOTT:** I wonder if it'd help in the Board's
10 deliberation here if I walked you through the process
11 of issuing task orders. I mean give you a sense of
12 what that's going to be like and whether you can insert
13 subcommittee versus Board where I mention it. Would
14 that be helpful?

15 **MR. PRESLEY:** Yes.

16 **MR. ELLIOTT:** Okay. The task order process would
17 involve the Board approving the tasks to be placed
18 before the contractor, which you've done today. You've
19 essentially handled these four. NIOSH will now submit
20 these tasks to the contracting officer in Pittsburgh
21 and for submission to Sanford Cohen & Associates. We
22 also -- at the same time that we make that action,

1 we'll send a funding document that is forwarded to
2 Atlanta in our office down there that handles tracking
3 of money, and simultaneously we send that task to
4 Sanford Cohen & Associates. Sanford Cohen & Associates
5 will have 14 days to prepare their response, their
6 proposed response to a given task and how they -- what
7 skill levels and how much money they feel they need to
8 do the work.

9 That will then come back. The proposal will need to be
10 reviewed and approved by either the Board or the
11 subcommittee, depending upon if you have a subcommittee
12 and you give them that charge, you give them that
13 specific authority to review and approve. You could
14 just have them review and then recommend to the full
15 body.

16 If the Board accepts or if the sub-- if you give the
17 subcommittee the authority to do that, if the
18 subcommittee accepts, the contracting officer is then
19 instructed to award the task. If they don't accept, if
20 revisions to the proposal are required, then once the
21 task is resubmitted to Sanford Cohen & Associates, they
22 will have now seven working days -- seven working days,

1 not a week, seven working days -- to revise the
2 submission. And once -- and then once these come back
3 to -- through NIOSH to the Board or to your
4 subcommittee, you can take as long as you want to
5 deliberate on them. You're not -- there's no time
6 placed upon you as a body to make a decision. The only
7 time element is placed upon your contractor to provide
8 proposal back.

9 So once you approve the task, it's awarded and
10 reporting requirements are then outlined in that task,
11 how often do -- how often do you want them to report,
12 what kind of reports do you want from them.

13 Does that -- I don't know if that helps, but that's the
14 process. That's pretty much the cycle of things with
15 the time embedded.

16 **DR. ZIEMER:** Mark, comment?

17 **MR. GRIFFON:** Yeah, and I think that was, you know,
18 part of our rationale and our discussions on the
19 working group level. It was this sort of 14-day cycle.

20 You know, we -- you know, we certainly want to get
21 this process going, so if they get a response to a task
22 within 14 days and the next Board meeting is a month

1 and a half away, and I don't know how long in advance
2 is required to announce a Board meeting, but you know,
3 even if we moved one up, you know, it would be a delay.

4 So that was part of the rationale. And then if
5 changes were required, we may have another delay in
6 there till the next Board meeting, so we thought the
7 subcommittee might be able to add some efficiency to
8 that process.

9 **DR. ZIEMER:** Jim Neton has a comment, then Tony.

10 **DR. NETON:** Yeah, I don't want to confuse the issue, I
11 just want to comment on Wanda Munn's idea that you
12 could ask the contractor to review the minutes and then
13 come and become familiar with the Board and that sort
14 of thing. This is a task order contract, so there is
15 no mechanism to pay the contractor to do anything other
16 than through a task order. So you would have to write
17 a task order to get them to do that, that's what I'm
18 saying. So you're sort of in a catch-22 here, so just
19 be advised.

20 **DR. ZIEMER:** Not going to do anything you don't get
21 paid for. Okay. Let's see, who had -- Tony had a
22 comment.

1 **DR. ANDRADE:** Question is for Larry.

2 **DR. ZIEMER:** You know you're in trouble if you have an
3 attorney whispering in your ear. Right? Okay. Tony
4 has a question for you, Larry.

5 **DR. ANDRADE:** Larry, I just wanted to know if there's
6 any potential gain in efficiency, given that there is
7 some minimum time for announcing meetings, or if
8 there's some provision for announcing multiple
9 meetings, for example, in the *Federal Register*, such
10 that if you know you have a heavy workload over the
11 next couple of months, you can do so.

12 **MR. ELLIOTT:** Thank you. That's a very good question
13 and it actually offers me a great segue to comment on
14 what I just heard in my ear.

15 I want to call your attention to what Cori said a
16 moment ago. Even if you have a subcommittee, we still
17 have to announce their public meetings in advance. And
18 generally they like for us to do that 30 days in
19 advance, but given our history with this particular
20 Board, you know, they understand that we do things in
21 real time. So we get them there at least two weeks in
22 advance and they still would like to see it 30 days,

1 but that's kind of the time frame.

2 Yes, we can -- and Cori will stand up and correct me if
3 I'm wrong here again -- but we can, if you know what
4 your schedule of meetings would look like, where you
5 want to have them and all of that, the date specified,
6 we can roll that out in a *Federal Register*
7 announcement. We probably would have to have a rolling
8 *Federal Register* announcement to say the next meeting
9 of the series would be coming up, so...

10 **MS. HOMER:** (Off microphone) We could announce one
11 *Federal Register* notice for one year, if you have those
12 dates, the problem being (Inaudible).

13 (On microphone) The problem being if you announce even
14 six months ahead of time, having one meeting change
15 will require an amendment to the *Federal Register*
16 notice.

17 **MR. ELLIOTT:** I truly believe we would establish a
18 policy that would say, if you want to go this way and
19 you want to have a series of meetings, we'd do one
20 *Federal Register* announcement, as Cori says. But
21 because I want to make sure we have ample opportunity
22 for the public to know about these meetings and remind

1 the public, we would not just rely on one announcement.

2 We'd go back after -- say you had one meeting, we'll
3 announce the next set, and we'll keep that going on
4 until we exhaust the schedule. Okay?

5 **DR. ZIEMER:** Roy.

6 **DR. DEHART:** I think this is a practical question.
7 What's the quorum requirement for this Board versus
8 what would be the quorum for a subcommittee?

9 **DR. ZIEMER:** Well, the quorum for the Board we
10 established early on. The Chair doesn't remember what
11 it is, but -- but Cori's got it at her fingertips. I
12 know it's more than half, though.

13 **MS. HOMER:** The quorum for the full Board is one more
14 than one-half, and that's just a widely-accepted quorum
15 for any committee or any group. The subcommittee would
16 be the same way, one more than one-half would equal a
17 quorum.

18 **DR. ZIEMER:** Okay. Other comments? Now we don't have
19 to come to closure on this issue this afternoon. We
20 can revisit it tomorrow after you've had a chance to
21 mull it over and -- yeah, Jim. In fact, we can even
22 delay it further if we need.

1 **DR. MELIUS:** (Off microphone) Well, I guess is there
2 anything -- I guess this is for the working group and
3 Larry (Inaudible) -- anything that -- that we ought to
4 be doing between now and the next Board meeting if --
5 and I don't know if we decided yet whether that's in
6 December. There's sort of a -- I think as sort of a
7 practical issue, we may want to set up a subcommittee
8 just to meet between now and the next meeting of the
9 Board in order just to get the task orders -- initial -
10 - initial task order -- something -- process underway.

11 I mean that could be a simple thing that we would do
12 tomorrow, so...

13 **MR. ELLIOTT:** Let me speak to that by answering what we
14 have in mind and as far as what we're ready to do.
15 We're ready to take your task orders and Martha DiMuzio
16 is on leave this week, but when she gets back next week
17 in the middle of the week, we would forward those on,
18 as I identified here in the process, to Pittsburgh
19 procurement office and the funding document down to
20 Atlanta and start the process. And so Sanford Cohen &
21 Associates would have perhaps 14 days starting next
22 Wednesday or next Thursday -- calendar days -- calendar

1 days for the first piece, so they'd have 14 calendar
2 days to prepare their response. So you can look at
3 your calendar and figure that out. I think Dr.
4 Ziemer's got it here. It's going to be around November
5 19th. Okay? So at that point, we were ready to either
6 convene your subcommittee and share the proposal with
7 your subcommittee, or we can hold the proposal until
8 you meet in December.

9 You've selected two days in December as everybody's
10 available to meet. I think we've also got a -- the 9th
11 and the 10th, I believe, of December. And you talked
12 about Amarillo or Vegas for Nevada Test Site and we've
13 worked with a hotel in Las Vegas. We're also trying to
14 assemble or coordinate a site tour to the test site if
15 that is of interest to anybody. But at that point, in
16 Las Vegas at your meeting, we would need to handle this
17 -- this set of task orders. You need to negotiate
18 those out perhaps, or if you're fine with what you see
19 in the proposal, then you make approval of them and we
20 would see them awarded post that meeting.

21 So do you have to have a subcommittee to make that
22 happen? Do you have to have another Board meeting in

1 between? No. You get the proposal back after the 14-
2 day time period. We would hold onto that until we met
3 in Vegas and then you could take action on it.

4 If you had a subcommittee, you could have them meet
5 before then. Does that make sense?

6 **MR. PRESLEY:** Would the full Board still have to vote
7 on it?

8 **MR. ELLIOTT:** Depends upon what you specify as an
9 authority to the subcommittee.

10 **DR. ZIEMER:** Okay. Mark, you had a comment?

11 **MR. GRIFFON:** Yeah, I was just going to ask Larry to
12 elaborate on the -- when we review the -- when we
13 review their submittal, you negotiate technical skill
14 and cost? And if we negotiate cost, does that have to
15 be Executive Session or --

16 **MR. ELLIOTT:** Yes.

17 **MR. GRIFFON:** Whether it's a subcommittee or full
18 Board, it's got to be --

19 **MR. ELLIOTT:** Whether it's a subcommittee or a full
20 Board, once you start talking about the costs piece,
21 you would do that in closed session. You can discuss
22 the technical merit of their proposal -- in other

1 words, did their proposal address the technical scope
2 of work adequately. You can do that in open session.
3 But once you start talking dollar figures, you've got
4 to go in closed session.

5 **DR. MELIUS:** (Off microphone) I'm not sure which -- I'm
6 not advocating any of these, but it seems to me there's
7 some different possibilities. One is that we could
8 have -- charge a subcommittee with doing that initial
9 review, let them -- let them approve the task orders
10 there, or -- which may be more important, if they need
11 -- needs to be renegotiated, there's a problem and it
12 needs to go back out for another proposal, they would
13 have the authority to do that, but not the authority to
14 do the approval. That would depend on full Board
15 approval for this first time through. We could wait
16 until we get the -- to Las Vegas, I guess, and do it
17 all there, then set up a subcommittee that would -- at
18 that point, should there have to be a renegotiation,
19 would have the authority based on whatever instructions
20 we gave them based on full Board review.

21 We could also I think set up a work group to look at
22 the task, just in order to be able to report back to

1 the Board and -- you know, particularly with, you know,
2 some more of the detail and with their experience in
3 having written up these task orders and so forth. So
4 there would be maybe necessary -- necessity to meet,
5 but at least a group that would be charged with doing a
6 review before the next meeting.

7 Just looking at the calendar, the only practical thing
8 is that if we're talking about around November 20th,
9 we're starting getting into Thanksgiving and so forth.

10 I'm not sure if it's even practical to do a -- a
11 subcommittee meeting between now and then, what we
12 really gain from it, but that's --

13 **MR. GRIFFON:** Only gains us a week or so to -- yeah.

14 **MR. ELLIOTT:** I think you've identified as many
15 scenarios as I can envision that you could do this
16 under. You mentioned a working group. You certainly
17 could use a working group, but you could not designate
18 any -- delegate any authority to that working group to
19 make any decision on behalf of the Board. That you
20 cannot do. That only can happen with a sub-- an
21 established subcommittee.

22 **DR. ZIEMER:** Further comments? So the real issue again

1 comes down to the extent to which the Board wants some
2 action to occur before December 9th, which is our next
3 meeting.

4 Mark, question.

5 **MR. GRIFFON:** I think -- you know, especially for this
6 first round, one of -- one of Jim's options of having
7 the first round go to full Board review for approval
8 makes sense to me, and then it -- because I think the
9 time it's going to take us to -- you know, we did a
10 very cursory draft charter of a subcommittee, but we
11 have to think through how much power we want to -- you
12 know, what task we want to delegate to a subcommittee,
13 who's going to be on the subcommittee, all those issues
14 have to be worked out. And in the calendar here -- for
15 this first round, anyway -- I don't think it gains us
16 much time. So I think it might be beneficial for the
17 first round to go to full Board approval and then --
18 you know, maybe, like -- like Jim said, with a work
19 group review as soon as we get them in, just so they
20 can present back to the full Board. But -- but then
21 after that December 9th meeting, maybe we can further
22 discuss, you know, a subcommittee for ongoing work

1 there.

2 **DR. ZIEMER:** I think it was Mike that suggested it
3 might be of value to have the full Board meet initially
4 with the contractor anyway, and maybe the Board would
5 prefer a full Board face-to-face, which that -- that
6 opportunity presents itself in that context. Yes,
7 Robert.

8 **MR. PRESLEY:** Would it be to our advantage to add a
9 extra day to the meeting in Vegas and meet with the
10 contractor and go over this?

11 **DR. ZIEMER:** I think that's going to depend on what
12 other agenda items we have. It may be that we only
13 need one day or one day and a half for the open
14 meeting, and then -- I'm not sure it would take more
15 than a half-day with the contractor, anyway. But the
16 point is well taken. We can -- we'll have to look at
17 the full agenda.

18 Again, we can revisit this tomorrow. I think you have
19 the issues before you. Unless I hear any specific
20 motions to move forward on the subcommittee, I'm going
21 to just ask that it be held in abeyance, at least till
22 tomorrow, and then we can -- Jim?

1 **DR. MELIUS:** (Off microphone) This is more for -- for
2 thought. To me, the -- there's some advantage now with
3 these task orders to having a subcommittee (Inaudible),
4 but that's -- I don't think it's going to be an ongoing
5 advantage to a subcommittee. It may be a taskable one
6 to have as other tasks come up, it would expedite that
7 and so forth. But is this issue of selecting cases and
8 reviewing -- and assigning people for review, and so
9 forth, and sort of how we schedule all of it -- this
10 and I think it would be useful -- I do think we ought
11 to think about setting up some sort of a work group or
12 something -- some way to really come up with a proposal
13 that would think through what a schedule would be.
14 Now we talk about quarterly meetings, then we would
15 have, for example, a full Board and then between those
16 -- each of those meetings, a meeting of a subcommittee
17 so that would be roughly six weeks between meetings.

18 **DR. ZIEMER:** Well --

19 **DR. MELIUS:** Is that -- is that adequate?

20 **DR. ZIEMER:** -- one thing we can do is the following,
21 and we can -- we can work on this some tomorrow. We
22 actually have a draft, a straw man draft, and we can

1 look at that and say, you know, what are the -- what
2 items are missing, what should be added, and we can
3 polish that up and say okay, this -- and then -- and
4 then use that at the next meeting -- you know, we'll
5 have an opportunity between -- I don't think we need a
6 work group to address that further. We have the straw
7 man item that we can work from I think as a starting
8 point, add to it, delete and so on.

9 **DR. MELIUS:** But my only question is who's going to --

10 **DR. ZIEMER:** Unless I'm the only one --

11 **DR. MELIUS:** -- do the polishing?

12 **DR. ZIEMER:** -- that has that mysterious document.

13 **MR. GRIFFON:** I thought that was distributed to
14 everyone, but maybe not.

15 **DR. ZIEMER:** Well, in any event, we can make it
16 available tomorrow.

17 **DR. MELIUS:** But again, my only question is who's going
18 to do the polishing that you said...

19 **DR. ZIEMER:** Well, if we have input from the Board, at
20 least on the straw man thing, then the Chair or Mark,
21 we can work together and polish it up, a final draft
22 for the next meeting, if that -- if that's the way the

1 Board wishes to go. And then -- and then we can
2 establish -- again, we'll revisit it tomorrow. If
3 you're comfortable waiting till December and -- and
4 going full Board, and there may be some advantages in
5 doing that, at least in the initial contact with the
6 contractor, then we can go from there. If there -- if
7 the Board feels the urgency of gaining a week or two on
8 this issue, and NIOSH is prepared to have us move
9 forward -- I mean it'll be our call, let's put it that
10 way.

11 I'm going to suggest -- we don't have it on the agenda,
12 but I'm going to suggest a comfort break for the Board
13 and others, and we'll reconvene in about 15 minutes.

14 I want -- before we -- before we take a break, I want
15 to make sure that any members of the public who wish to
16 comment during the public comment period please be sure
17 to sign up. It appears that we will be able to move
18 that comment period up a little bit in time, so please
19 take care of that as soon as you're able to. Thank
20 you. We'll recess for 15 minutes.

21 (Whereupon, a recess was taken.)

22 **DR. ZIEMER:** Okay, we're going to reconvene. We're a

1 little bit ahead of schedule, but I think we're not so
2 far ahead that it'll catch people off-guard.

3 **PUBLIC COMMENT**

4 **DR. ZIEMER:** Our next portion is an opportunity for
5 public comment. I have four individuals that have
6 signed up now for public comment. There may be others
7 who will be coming in, but we'll begin with these
8 individuals who've already signed up, beginning with
9 Richard Miller from Government Accountability Project.
10 Richard.

11 **MR. MILLER:** Greetings to the committee. It's Richard
12 Miller, Government Accountability Project. I just
13 wondered if I had over-- maybe in running in and out
14 that I had missed -- do we have a schedule or has there
15 a schedule been announced for when the Special Exposure
16 Cohort rule is going to be made available? I just -- I
17 just note it only because we're approaching the third
18 anniversary of the passage of the law and we still
19 don't have a rule.

20 **MR. ELLIOTT:** The Special -- is this the bad one again?

21 Okay.

22 The Special Exposure Cohort rule has been revised and

1 is under review. As soon as the Department releases
2 that, we'll publish it and it'll be available for
3 petitions to be generated against.

4 **MR. MILLER:** Yeah, can you give us any more insight
5 than that? Because that was the exact same answer we
6 got in August, and I know that, you know, you don't
7 control what goes on above you in the food chain and --
8 but I mean are we looking at something where this is
9 like imminent or -- because it's -- the reason I'm
10 asking is it's very difficult to evaluate these site
11 profiles without the benefit of understanding how the
12 Special Cohort rule intersects with what constitutes
13 feasibility or non-feasibility for dose estimation for
14 -- for parts or subparts or subgroups of your -- of
15 your facilities. And so there's -- it's -- it's sort
16 of a -- it's hanging out there. I'm sure it's not
17 escaped your attention.

18 You've nothing more to add, I see. Yes. Okay. May
19 the record reflect.

20 Secondly, I would like to re-raise an issue that was
21 raised at the last meeting concerning the conflicts of
22 interest requirements that do not apply to those

1 performing the site profiles. It's come to my
2 attention, and I assume it's come to NIOSH's attention,
3 that one of the companies which has been retained to do
4 the K-25 site profile, Auxier & Associates, has also
5 been retained by insurance company in Alaska to fight a
6 Subtitle D claim that had gone through the physicians
7 panel. And so I just was puzzled that if you've got
8 the same outfit sort of on both sides of the program,
9 albeit different Titles of the program, where are the
10 boundaries beyond which you all would consider that
11 kind of conduct permissible or impermissible, I guess.

12 I mean where -- what -- what are the boundaries here
13 for the folks doing site profiles, if any?

14 **MR. ELLIOTT:** Richard, I'm going to answer your
15 question this way. We are looking into this particular
16 example that you have identified and we're working with
17 the ORAU team. We are, too, concerned about
18 individuals who work on our products and the -- let me
19 just state this for the record -- the products of a
20 site profile or a dose reconstruction in their final
21 form are NIOSH products. But we fully recognize that
22 certain expertise are applied to those products along

1 the way. And we're examining this particular situation
2 and we have legal review of it right now.

3 **MR. MILLER:** Well, let's just look at the policy
4 question and I'll get out of the weeds then. And --
5 because it's just -- it -- it -- it raises this
6 circumstance, I think. You know, it's -- it's -- it's
7 like seeds in a moist flower bed, you know, they're
8 going to sprout some more. And -- and I don't think
9 this is the exception, and yet I guess the question I
10 have is has -- since you all took under consideration --
11 -- I think it was where it was left at the last Advisory
12 Board meeting -- the question of whether you would
13 apply the conflict of interest requirements that apply
14 to those who do dose reconstruction to those who are
15 performing site profiles, whether you've rendered any
16 policy determination with respect to that question.

17 **MR. ELLIOTT:** Yes. All -- all people who are working
18 on site profiles, their disclosure statements are on
19 the web site now.

20 **MR. MILLER:** But the -- what about the do-not list
21 which is contained in the ORAU conflict of interest
22 disclosure in their contract? There's a set of do-

1 nots, beyond disclosure.

2 **MR. ELLIOTT:** I defer that question to Dick Toohey.

3 I'm not sure exactly I understand what you're --

4 **MR. MILLER:** Well, I'll tell you what I --

5 **MR. ELLIOTT:** -- referring to.

6 **MR. MILLER:** -- mean by do-nots, just so the record's
7 clear. I mean there is individuals who are serving in
8 defense on these claims can't perform dose
9 reconstruction if you're working at a site where you
10 were previously employed or by an employer. I believe
11 there's both an individual limit and there's also a
12 corporate limit, I believe, within that.

13 **MR. ELLIOTT:** Right, and that's the do-not. Okay, I'm
14 understanding a do-not now.

15 **MR. MILLER:** That's what I mean by the do-nots, and
16 that's what I was questioning. I noted that there has
17 been some disclosure made on the ORAU web site with
18 respect to those individuals assigned to these teams, I
19 must say. I found --

20 **MR. ELLIOTT:** We do not want to see anybody working on
21 our product who's testifying on the opposition side.
22 That's the policy.

1 **MR. MILLER:** Well, okay. I don't know what the policy
2 is because what we heard last time was that the ORAU
3 policy that applies to dose reconstruction will not
4 apply to those doing site profiles, and I'm just trying
5 to understand --

6 **MR. ELLIOTT:** No. No, no --

7 **MR. MILLER:** Has that changed since the last meeting?

8 **MR. ELLIOTT:** The policy is that we do not want to see
9 anyone working on our products serving in the --
10 litigation on the opposition.

11 **DR. NETON:** I think Larry's addressed that -- that
12 issue that arose that you just alluded to regarding
13 someone testifying against us or against -- on Subtitle
14 D, even though they worked on a profile. But I think
15 the other issues that you raise related to persons who
16 have worked at a site doing site profiles, I think we
17 still believe that the expertise required to do the
18 site profile lies with the people who have experience
19 at the sites. So at this point, we have not made the
20 decision that a person who worked at a facility would
21 be barred from working on the site profile. I think
22 that's a -- I think we discussed this at previous Board

1 meetings and I thought that it was generally understood
2 that that was the most -- that was taking advantage of
3 the expertise that was out there to its best benefit.
4 We are looking at this legal issue, though, that you
5 raised, and considering that.

6 **MR. MILLER:** Let me -- let me cut to the chase then a
7 little bit further, because seems like if you have
8 different standards that you're applying for those that
9 do dose reconstruction in terms of your -- your
10 professional standards of conduct that you expect than
11 you have for those who do site profiles, you have an
12 incongruity there. The -- my question is, is that
13 clearly spelled out and do we actually see where the
14 bright lines are, because from my perspective, given
15 what I've now gotten from reading your four site
16 profiles that you've done, this is really the well-
17 nourished, you know, material from which one will
18 extract individual dose reconstructions -- not quite
19 cookie-cutter style because some of these are a bit
20 more complex, although Bethlehem was certainly a
21 cookie-cutter case, and without going to the merits of
22 any of these site profiles right now, the idea was

1 you've got the raw material, you pull it out and bingo.

2 And it didn't look terribly challenging. I mean it's
3 challenging, but it didn't look anywhere near as
4 challenging as it once did in terms of doing dose
5 reconstruction.

6 I'm all for the efficiency. I'm just questioning what
7 are the standards of conduct you're going to apply to
8 those that are performing it. And that, from my
9 perspective -- and let me give you another example.

10 One of the site profiles we saw -- Mike Gibson will
11 recall -- a former EG&G health physics official from
12 the Idaho National Engineering Labs who, in his
13 disclosure, couldn't recall all of the cases that he'd
14 been involved in as a defense expert. Now having been
15 on the other side of a couple of cases from him, I had
16 no trouble recollecting his -- his involvement in -- in
17 -- in this. His name's Bryce Rich* and -- and -- and a
18 nice fellow, and -- and -- but, you know, my question
19 is, where do the lines apply for these folks? And one,
20 I just want to advise you, his disclosure's woefully
21 incomplete. It just says I don't remember what cases I
22 worked on. You know, it's sort of -- it's like getting

1 to Congress and saying well, Mr. Chairman, I can't
2 quite recollect, you know, and we've all laughed, but -
3 - but here we are. So I just, one, would encourage you
4 to have disclosure there. But two, I would like to see
5 clear policy where the ORAU policy clearly spells out
6 what those standards of conduct are and what they
7 should be, because I don't -- I -- I'm hearing what
8 you're saying, Larry, and I appreciate what you're
9 saying. But I think this really needs to be spread out
10 very, very clearly for those of us who are looking at
11 this from the outside.

12 So that -- that's sort of my suggestion for the Board
13 and the -- and the staff.

14 **MR. ELLIOTT:** I want to be clear for the record that
15 the example that you brought up, Richard, is accurately
16 portrayed. What we have here is one individual from
17 this particular company who's working on one site
18 profile, who is not the individual testifying against
19 the Subtitle D claim in Alaska, but another colleague
20 of his in that same company. And so that's the issue.

21 Can -- can a person perform that kind of expert
22 witness testimony as an individual without

1 demonstrating or identifying their affiliation, or even
2 if they do identify their affiliation, is that a
3 perceived conflict and how do we handle it. So I just
4 want to make sure that's --

5 **MR. MILLER:** Right, I'm glad you clarified that because
6 it's really the question of are you biting -- or do you
7 have a risk of someone biting the hand that feeds them,
8 one; two, are you creating an appearance standard;
9 three, are companies -- are companies -- are
10 individuals then held to a standard and the companies
11 they work for are not held to that standard. Okay? I
12 -- I -- I mean I think we saw -- seen a lot of this
13 with law firms trying to parse out which lawyers at a
14 law firm can be on opposite sides of the same divorce,
15 and -- and -- at a -- and I hope that we don't parse it
16 the same way lawyers parse their ethics here.

17 **DR. ZIEMER:** Thank you, Richard. You lawyers take that
18 and that and that.

19 Next I have Daniel McKeel, if I pronounced that
20 correctly, from Washington University. Daniel.

21 **DR. MCKEEL:** I'm Dan McKeel. I'm a pathologist at
22 Washington University and I have really two concerns

1 that I'd like to relate. The first one is I got the
2 Technical Basis Document for Mallinckrodt last Friday
3 afternoon from the web site and have certainly not had
4 a chance to review it in detail. But there was
5 something that I wanted to call to your attention that
6 I think is such a glaring omission that it actually
7 calls into question basically the entire document, as
8 far as I'm concerned. And that is, in the bibliography
9 -- and maybe I missed something; if I did, I apologize.

10 But on pages 69 and 70 there are two citations by
11 Elizabeth Dupre-Ellis about articles that I'm
12 intimately familiar with. One is about the external
13 radiation exposure in the Mallinckrodt uranium cohort.

14 That was published in the *American Journal of*
15 *Epidemiology* in the year 2000.

16 And then on page 70 there's a study which I got a copy
17 of by Dr. Dupre-Ellis from 1998, and the copy I have
18 was under the NIOSH Health and Human Services banner,
19 so I'm sure that's the same publication.

20 What's not on here are two other publications that Dr.
21 Dupre-Ellis herself authored, and I find this extremely
22 strange, because she works for Oak Ridge Associated

1 Universities, and that was a 1995 study on the internal
2 exposures to the Mallinckrodt cohort, the dust study
3 results. I think it was blended with three other
4 places. But that's in *Epidemiology*, 1995. It's cited
5 in the CEDR catalog for 1999. So I find that extremely
6 strange and I would just echo the concern of why I'm
7 con-- why I find that disturbing is that to publish
8 data, exposure data, in a peer-reviewed, excellent
9 journal such as *Epidemiology* or the *American Journal*
10 implies that you have full data for that. And I've
11 read those papers carefully. There's no mention in
12 there about missing data, how missing data is handled,
13 so I'm assuming that there's very little missing data.

14 And I find that amazing not to have those two
15 publications cited in this document. So that's just a
16 concern that I have.

17 The other concern maybe is even larger, and that is
18 that in all this process, it seemed to me that one set
19 of facts that's needed has not come out at all. And
20 that is -- just take for example Mallinckrodt, which a
21 lot of us in St. Louis are interested in -- is how many
22 workers do you have complete radiation exposure data

1 for, just a number like -- why don't we say 500 out of
2 764. So not having that data, I decided that I would
3 write Mr. Neton and see if he would provide that data
4 to me, and I don't want to paraphrase his answer, but
5 basically the answer was that data is -- that number is
6 not known.

7 And the reason that concerns me is this, that if you
8 have -- let's say 90 percent complete data on the
9 Mallinckrodt cohort and ten percent missing data, I
10 think everybody would say well, that's okay; you can
11 probably do an excellent dose reconstruction based on
12 that. But suppose it was the reverse. Suppose you had
13 complete radiation data on ten percent and basically
14 you were making educated guesses on 90 percent. That
15 wouldn't be all right. And so it seems to me that that
16 number is absolutely critical and essential, and I
17 would beg you all to produce that number, let people
18 know about it. This is not just for Mallinckrodt.
19 This is for all the sites. Because unless and until
20 that number is produced, it raises the question that
21 we're really operating on lots of missing data. And
22 you don't have to read very far in the Technical Basis

1 Document to know that there's a huge amount of missing
2 data and a lot of very broad assumptions that --
3 personally, as a scientist in the medical field -- I
4 would be unwilling to make in my own research. So
5 there are just two comments and I appreciate your
6 listening.

7 **UNIDENTIFIED:** Excuse me, I (Inaudible) to your list.

8 **DR. ZIEMER:** Thank you. I'm sorry --

9 **UNIDENTIFIED:** (Inaudible)

10 **DR. ZIEMER:** You can -- yes, you can be added to our
11 list. We have a couple of other individuals here, but
12 others who have come in since we reconvened, if you do
13 wish to speak, there is a sign-up list in the back, so
14 you can still be added.

15 Next I have -- and thank you -- is it Dr. McKeel? Yes.
16 Thank you.

17 And next we have Nancy Adams, who's with United Nuclear
18 Weapons Workers. Nancy.

19 **MS. ADAMS:** I'm Nancy Adams and I'm also the daughter
20 of a long-time Mallinckrodt worker. And I have a
21 concern about dose reconstruction. Because of my own
22 personal experiences and the experiences of many of the

1 claimants that we've talked to, we have almost 400 or
2 so that we are -- have been in contact with -- I feel
3 there's a lack of dose information on many of these
4 long-term Mallinckrodt workers. And here -- here's a
5 little bit of what happened with my -- our own.
6 My father's missing records -- really peculiar. He
7 started working there in 1943, in March, and retired in
8 1968, in June. He was a worker for 25 years until
9 retired, was in several nuclear incidents at the plant
10 downtown, was on disability for a good number of years,
11 totaling about a year -- a good number of months, I
12 mean, totaling about a year. He worked in multiple
13 buildings at both Destrehan and Weldon Spring when they
14 moved the plant -- uranium processing out there, came
15 back to Destrehan afterward -- and was one of the
16 subjects in the study that Dr. McKeel referred to. We
17 happen to know for sure that he was because all of his
18 records were removed from Mallinckrodt for that study.
19 That's what we were told, and I can tell you who told
20 us that. It's a good -- a good source.
21 But when we filed a FOIA request, had no incident
22 reports -- report data, no medical data, nothing but an

1 employee record and a badge number. And this is at DOE
2 and we filed for this request after we did our phone
3 interview because we were finding things along the way,
4 and it turned out that we were trying to give some of
5 the material to the person giving the phone interview
6 and we were told oh, you don't need to do that. We
7 have 16 pages of your father's medical records. And we
8 thought oh, okay, great. But there's nothing. We have
9 nothing. They tell us there's nothing.

10 So if there are large numbers of missing files on the
11 long-term workers, how can the data be accurate? My
12 father's missing records aren't an anomaly. I've heard
13 this same thing over and over and over again. How can
14 you do comparison data if you don't have the ones who
15 worked there from the beginning for 25 years? And this
16 is not uncommon.

17 So it just makes me feel -- I just can't help but feel
18 that there's some -- either gross negligence or fraud.

19 Thank you.

20 **DR. ZIEMER:** Thank you, Nancy, and your comments have
21 been noted by the staff here.

22 Next -- I think it's James Mitulski -- is that -- do I

1 pronounce that correctly, James?

2 **MR. MITULSKI:** Yeah, that's fine.

3 **DR. ZIEMER:** United Nuclear Weapons group, as well.

4 **MR. MITULSKI:** Yeah, my dad, James Mitulski, worked at
5 Mallinckrodt for 20 years, part of it downtown, part of
6 it at Weldon Springs. And one of the things that Nancy
7 said, I would back up, in terms of inability to recover
8 data. I talked to many people at our meetings who had
9 a hard time proving they ever even worked for
10 Mallinckrodt. The only way they were able to prove
11 that they worked for Mallinckrodt was not from
12 documents from Mallinckrodt or from any other
13 organization except their Social Security records.
14 There were just no records that they were even ever
15 there. And some of these people are the people
16 involved in your study, because they either have cancer
17 themselves or their relatives have cancer, or other
18 diseases that seem to stem from the situation at Weldon
19 Springs.

20 And basically Weldon Springs is what I'd like to talk
21 about a little bit. My concern about dose
22 reconstruction there, too, is that somebody like my dad

1 worked at six different buildings, oftentimes three of
2 them at a time. And there were many incidents that
3 occurred in these buildings that I'm sure nobody will
4 find anywhere in records.

5 Like for instance, one of the things that happened to
6 Dad was there was -- in the metal building -- a 3,000
7 pound crucible that they used to melt uranium. Now
8 somebody had devised an invention that would gather the
9 uranium into three ingots that were put together --
10 held together by a metal band. And when that metal --
11 when this first went into process, the ingots would not
12 fill up at the same time. They would overflow. The
13 metal band would melt because of the uranium rolling
14 over the sides and Dad and another man would go into
15 the furnace and clean -- well, Dad has had part of his
16 foot amputated because of a very rare form of cancer on
17 his foot. I'm sure things like that are not recorded
18 anywhere.

19 The other thing is, when you work in three different
20 buildings -- and they were all hot buildings, and he'd
21 run -- he was a supervisor. He'd run from one building
22 to another. I don't know how you can judge the time he

1 spent in one building and another to do dose
2 reconstruction.

3 Another thing that bothers me is if the government
4 spent \$900 million to cover up the Weldon Springs site
5 because it was so dangerous, it seems like a non-
6 sequitur that everybody that worked there was put in
7 harm's way. And they're willing to spend a lot more
8 millions to keep that building -- that -- that former
9 building area secure. So that bothers me, too.

10 And then the fact that these other groups of workers,
11 because of their fine legislators, were able to move
12 into a -- what do you call it, Denise? -- Special
13 Cohort status, it almost seems -- it almost seems like
14 a prejudicial act that some workers who worked in an
15 equally radioactive environment are not questioned
16 about their ability to receive the monies that were
17 appropriated to them, while other workers, because of
18 not working in a state where the legislatures were able
19 to pass this -- this particular bill, are subject to
20 this -- this kind of scrutiny. If the United States
21 government would pass a law that people in Illinois
22 were granted food stamps but people in Missouri were

1 not eligible for them, it would certainly not fly very
2 well. And I kind of see this as the same kind of
3 situation. You know, some people have been granted
4 their -- their compensation simply because of a law
5 that was passed, while others have not. It seems very
6 inequitable to me.

7 That's basically all I have to say. Thanks.

8 **DR. ZIEMER:** Thank you, James. And then I have Barbara
9 -- is it Barbara Smiddy? Yes.

10 **MS. SMIDDY:** Yes.

11 **DR. ZIEMER:** If you'll identify the organization or --

12 **MS. SMIDDY:** Excuse me, that's G. B. Windler Florist.

13 That's my brother's -- I'm retired from Monsanto.

14 These people are all Mallinckrodt. I started
15 corresponding, talking on the phone with the EEOIC in
16 July of the year 2000. Okay? I was sitting there

17 having coffee one morning, bleary-eyed, before I went
18 to work, and it flashed across the screen. There's

19 only 12 survivors showed up down here regarding the

20 Weldon Springs situation. I believe it had just been
21 okayed that they were going to have a distribution.

22 Okay? So I thought okay, my dad -- I'm 59 years old --

1 59-and-a-half, almost. My dad worked at the small arms
2 in Weldon Springs during the second World War. I've
3 got it all in that briefcase, somewhere between '42 and
4 '46. I was born in June of '44. And my dad went from
5 making \$10 a week and driving from Grand and Merrimac
6 in south St. Louis, to \$100 a week 50 miles one way to
7 work for the small arms in Weldon Springs. Okay?
8 My father passed away June 30th, 1964. I was 20 years
9 old. And he died of lung cancer. Now I have been
10 corresponding with the EEOIC. We -- they made us jump
11 through their hoops and said send us this, send us
12 that. I've got a certified copy of his working -- you
13 know, from the Social Security, his records, his work
14 records. And it went to the point of adjudication
15 April 10th of this year, to be denied. And -- but the
16 glitch of it all was, they didn't tell us that they
17 were going from 1950 forward. That's, quote/unquote, a
18 covered facility. Okay?
19 So needless to say, I'm a little put out about it. Go
20 through all these hoops, and the man worked there --
21 and nobody can find him. I called Mallinckrodt; they
22 can't find him. Until I talked to a lady at the U.S.

1 Department of Labor yesterday. She says well, that was
2 an Army -- an Army -- whatever, I've got it in my
3 papers -- that belonged -- she says maybe you should
4 talk to the Department of Defense. So I thought oh,
5 good, I've got another two and a half years to go
6 through this craziness, and I won't.

7 This morning I called President Bush's comment line.
8 So I get on the phone, and you can imagine my -- my
9 long distance with the White House. My nickname's
10 Blabbera. Well, anyway, I get ahold of this guy and he
11 says well, what is Weldon Springs? And I start telling
12 him about it. He says oh, yeah. And I said well, you
13 know, we have a -- a guardian angel named Denise Brock
14 -- they've nicknamed Brockovich -- I know of what you
15 speak. He says I want you to know I'm writing this
16 down now, and I'm going to give this to President Bush.
17 Well, it's not just my dad. I cannot -- I want to know
18 where you started -- when did they decide that you're
19 going to cover from fifties forward? You know, the
20 atom bomb was dropped, and that's when the war ended.
21 Okay? Now these poor devils that worked out there at
22 Weldon Springs for the small arms, and that's what my

1 dad worked for, nobody knows about them.

2 Now Mallinckrodt is a big company. I retired from
3 Monsanto, and Mallinckrodt's a big company, they carry
4 a lot of weight. And I'm really pulling for these
5 people.

6 I told the gentleman this morning, you know, if I don't
7 -- or my brother and I don't get any remuneration from
8 this in our lifetime, and it comes after I'm under the
9 ground pushing up the daisies, I want it to go to the
10 Humane Society because evidently our human aspect is
11 zilch. All this money, this \$900 million -- what was
12 it, \$900 million? If you break that down for the
13 claims, that's your \$150,000, that's your \$150,000,
14 that's your \$150,000 for how many years, and that pile
15 of rock is never ever going to go away, and what's
16 buried out there will never go away.

17 So I'm an old number-cruncher from Monsanto, and bottom
18 line is bottom line, and the word "plug" is a dirty
19 word in the accounting function. And if you don't
20 bring out the facts -- I went through the same thing.
21 I couldn't find medical records. My dad died in '64.
22 Lutheran Hospital -- oh, we don't keep them past such-

1 and-such. And I thought well, if it's keeping all of
2 us and your organizations employed, that's fine. But I
3 think these people really need a break, 'cause I lost
4 my dad when I was 20. I didn't get married, so he
5 couldn't walk me down the aisle anyway, but there's
6 been a lot of families and a lot of, lot of anguish
7 gone over this -- gone on through this. And I thank
8 you. Have a heart. Okay?

9 I'm going to, I guess, contact the Department of
10 Defense and start this whole 360 again, but I -- I do
11 want an answer, where did they start, what was their
12 decision-maker from the fifties forward, and they've
13 forgotten all these guys that opened our borders, that
14 fought for our freedom. They gave them the stuff
15 initially 'cause that was a uranium place back in the
16 forties. Thank you.

17 **DR. ZIEMER:** Thank you for your comments. I don't know
18 that we have any answers to those questions today, but
19 if the staff is able to, I know that they will make
20 information available to you.

21 Let me ask if there are other members of the public
22 who've come in in the meantime and -- we still have

1 time, if there are others who wish to speak. Yeah,
2 Denise, please.

3 **MS. BROCK:** Would it be okay if I tried to address
4 Barb, because I think I understand what she meant by
5 that? I think that when this first started, we
6 probably were confused. Prior to the Weldon
7 Spring/Mallinckrodt going in -- and I'm assuming you
8 all are aware of this, I don't know; I'm assuming DOE
9 is aware of this. Prior to that Weldon
10 Spring/Mallinckrodt going in, there was a TNT/DNT
11 plant, and I think this is correct -- Dr. McKeel would
12 probably know that. It's a small arms plant, and I
13 believe it was owned by the Army. And I think that's
14 what the confusion was is that the Department of Energy
15 -- this covers Department of Energy facilities. Is
16 that correct? It does not cover Army-owned facilities,
17 and so therefore people such as Barb's dad -- and we
18 have numerous people that were exposed to -- to
19 carcinogens or toxins that just were made sick, as
20 well. But unfortunately, there hasn't been remedy up
21 to this point for those people. And there are just
22 numerous people that worked at that site. And so when

1 people say Weldon Spring, I think that's very
2 confusing. And I -- the way I understand it, I think
3 that Mallinckrodt or DOE purchased some of the very
4 same buildings that the TNT/DNT were in. And so what
5 they have there in this big, huge mound, is basically a
6 witch's brew of mixed contaminants there. So not only
7 is it TNT/DNT workers, Mallinckrodt workers, anybody
8 that's involved in the runoff and ground water and soil
9 there, so it is, it's absolutely horrible. But is that
10 correct that that is why the TNT/DNT workers are not
11 covered?

12 **DR. ZIEMER:** That's apparently correct, because the --
13 that would be specific to that facility, I believe.
14 There's not a restriction in the legislation on the
15 year 1950 because some other facilities were certainly
16 in operation as atomic weapons facilities prior to
17 that.

18 **MS. SMIDDY:** (Off microphone) How can it be addressed?
19 How can these people be covered?

20 **DR. ZIEMER:** I don't know if I can answer that
21 directly, but I will say that it's a legislative issue
22 because, you know, in a sense, this group is restrained

1 to working with the group for whom we have legal -- in
2 a sense, legal responsibility. I'm sure that -- and
3 this is why we have representatives in Congress to seek
4 redress on issues of this sort. I think the other
5 gentleman made the point that it doesn't look always
6 fair because some -- and this is true of all kinds of
7 things. You know, one -- us guys in Indiana don't
8 think we get our fair share of the Federal monies, you
9 know. We pay those taxes in and they go down to Texas
10 or somewhere else. But it's -- your legislatures have
11 to help out on these kinds of things, and that seems to
12 me where a lot of this starts. When we're seeking
13 redress for past issues, we need the help of our
14 Congressmen. And some are more effective at this than
15 others, that's for sure.

16 Certainly we sympathize with many of these issues and
17 feel hamstrung that there are some things that we can't
18 do anything about ourselves, but -- so we recognize
19 that.

20 Are there any others? Richard Miller, are you --

21 **MR. MILLER:** A follow-up question.

22 **DR. ZIEMER:** Yes, sure.

1 **MR. MILLER:** At the last meeting there was a discussion
2 -- and I know you all have been digging into this --
3 about the availability of the IMBA model or something
4 so we can take the site profiles and convert them into
5 organ dose. Can you give us any update on where that
6 is at this point?

7 **DR. NETON:** Yeah, we did -- is it on? We did look into
8 that issue with our contractor who provided the IMBA
9 program. And unfortunately, it's not possible to have
10 a web-based version of the IMBA program. It is -- is
11 proprietary-type -- a proprietary-type calculation
12 engine that -- that NIOSH has had a front-end put on
13 it, so to speak, so that it's customized for our
14 application. But it would be equivalent to asking Bill
15 Gates to put Excel spreadsheets on the web -- I mean
16 then who would buy them sort of thing -- so it is
17 available through our vendor.

18 I will say that we have available at NIOSH a public
19 reading room that would have IMBA available for use. I
20 understand it's not convenient, but that is one -- one
21 option. Outside of that, I can -- we cannot come up
22 with any solution that would make IMBA generally

1 available to the -- to the public.

2 **MR. MILLER:** Would -- would you be interested in doing
3 some \$1, low-cost licenses to members of the public
4 that are interested? We'd be happy to sign up, if it's
5 on a CD and it doesn't have to be web-based. It's
6 really difficult to try to take these site profiles and
7 go the next step. And I know you want transparency in
8 the program, too. I -- I mean I know that's where
9 you're at. What can be done to fix that? I mean it's
10 just a question of money? I mean just to cut to the
11 chase, is this just money that's necessary to make --
12 how is this Board going to audit if it doesn't have
13 access to IMBA, or are you going to give the Board
14 IMBA?

15 **DR. NETON:** We have a license agreement with our
16 contractor that members of the Board and our contractor
17 are -- have access to use the software, but it's a
18 licensure issue with members of the general public.

19 **MR. MILLER:** Okay. Well, that's good. I'm glad the
20 Board has it. Now the next question is, what other
21 methods -- if you're going to make it available in
22 Cincinnati, is there any other place on the planet that

1 it could be made available for those of us who -- I
2 mean I'm sure all of us live in the Cincinnati region,
3 but you know, what can be done -- I mean is there some
4 practical solution? I mean is -- is what you need is
5 \$10,000 and the problem goes away? Or is this -- what
6 -- how big is this problem?

7 **MR. ELLIOTT:** The only practical solution is, for those
8 who want to use IMBA outside of the availability that
9 we can make, they need to purchase the software and get
10 a license themselves. That's it. That's the licensure
11 issue and that's the way it is.

12 **MR. MILLER:** You're using proprietary software that's
13 not available to the general public to make decisions
14 about public compensation -- about a public
15 compensation program. That's a real problem. I mean
16 we don't -- we -- I mean I -- this is opaque, and we've
17 been patient, but I mean I think you all have to
18 grapple with this a little bit more on the licensure
19 question. I mean I don't know whether it goes on the
20 internet or whether you work out some arrangement to
21 let people use CDs of it or how you'd want to limit its
22 distribution, but this is -- this is -- this is

1 starting to pose a question.

2 **DR. NETON:** You raise a good point, Richard, but I
3 would suggest that it is software that is proprietary,
4 but the methodology that is used is -- is open and we -
5 - we do have verification/validation type runs that can
6 be used to document that it is indeed calculating what
7 we've intended it to calculate. But the methodology
8 itself, the ICRP methodology is generally available to
9 the public.

10 **MR. MILLER:** Yeah, yeah -- no, I think that's right.
11 We could all sit down and we could all do the hand
12 calculations, as I'm sure you all do. But you know, at
13 the end of the day, if one wants to run sensitivity
14 analyses, you want to look at particle size, you want
15 to look at a number of variables as you move forward
16 where you have uncertainties, you want to -- you want
17 to sort of test the boundaries of your own uncertainty
18 analysis, it's really hard to do that in any kind of
19 effective way fairly, I think you would admit, without
20 the benefit of the software that you're using,
21 particularly if you want to replicate exactly the
22 outcomes that you're getting, like to make sure we're

1 in the ball park. So I -- I don't know what the
2 solution is, but I -- I guess --

3 **DR. ZIEMER:** Well, I was going to actually ask you what
4 you thought should be done, Richard, but maybe -- since
5 you don't know the solution -- Richard -- okay, Mark.

6 **MR. GRIFFON:** Just an -- just an -- just an option,
7 maybe. I don't know if this is possible, but the DOL
8 resource centers might be a place where you could have
9 a version dedicated that would stay at that facility,
10 but at least it's a little more reasonable for people
11 to travel to their local DOL resource center than to
12 Cincinnati. I don't know if that's viable through the
13 license agreements or not, but --

14 **DR. ZIEMER:** I think we've heard the point, and maybe -
15 - I don't know if this is something the staff could
16 explore or, you know -- obviously --

17 **MR. MITULSKI:** Where is that resource center?

18 **DR. ZIEMER:** -- somewhere out there there may be a
19 simple solution that we haven't thought of, and thank
20 you for making the point. Okay.

21 **MR. MITULSKI:** That's all I was going to say was maybe
22 there could be something set up in a -- in like the St.

1 Louis Public Library, that there would be a dedicated
2 site that would plug into this program.

3 But where is this -- where is the closest office that
4 you're talking about?

5 **MR. GRIFFON:** The resource center.

6 **DR. ZIEMER:** Cincinnati is what you're -- no. Oh,
7 you're talking about the DOL --

8 **MR. GRIFFON:** Yeah, the Department of Labor resource
9 center, the closest one to this area I believe is
10 Paducah -- Paducah, Kentucky.

11 **MR. MITULSKI:** So it would still be pretty far.

12 **MR. GRIFFON:** Yeah.

13 **MR. MITULSKI:** But I don't see why, you know, there
14 couldn't be a -- like in either a public library
15 somewhere or something -- a computer dedicated to -- or
16 in a government building somewhere here, a computer
17 dedicated to connecting to this program.

18 **DR. ZIEMER:** I'm not sure that those of us sitting here
19 at the table know what the licensure issues are on that
20 fully, but perhaps it can be explored. At least -- the
21 point has been raised and may be worth following up.

22 Thank you, Richard.

1 Are there others who have comment?

2 **DR. MELIUS:** I have a follow-up comment. Jim Melius,
3 behind you.

4 **DR. ZIEMER:** Oh, Jim.

5 **DR. MELIUS:** Sorry.

6 **DR. ZIEMER:** I was looking for a member of the public.

7 **DR. MELIUS:** 'Cause I think it may be helpful at this
8 time, and I apologize if you'd talked about it this
9 morning, and it may very well be on the agenda tomorrow
10 afternoon. But the last time we talked about how you
11 were going to possibly make -- give public access or
12 opportunity for input and comments on the site
13 profiles, and it would seem to me that some of the
14 comments that have come up today are related to that --
15 that issue. So is -- have you made progress on that,
16 Larry, or is that something we can talk about?

17 **MR. ELLIOTT:** Tomorrow on the agenda we have Dr. Neton
18 presenting information on site profiles. You will hear
19 him speak tomorrow about this. What we have done,
20 though -- in brief, for those who are here this
21 afternoon -- we have, one, placed the site profiles on
22 our web site, and anybody that calls in, we'll send

1 them a hard copy if they don't have web access. We ask
2 for written comments to be generated. If anybody has
3 comments or input that they want to provide on these
4 documents, they are asked to do so and provide it to
5 our regulatory docket office. NIOSH keeps a -- the
6 docket office keeps track of all written comments on a
7 variety of publications, and so that's the mechanisms
8 that we have put in place for receiving, collating and
9 sharing comments. Any -- any comments that the docket
10 office would receive would then go on the web site or
11 be available upon request.

12 Also we are taking the opportunity to go out to the
13 site where -- specific to a Technical Basis Document or
14 a site profile once it's approved for use and sharing
15 that in a meeting with our organized labor and
16 representatives of non-organized labor that are from
17 the site, explaining the document to them, explaining
18 and providing examples of dose reconstructions that
19 were built from the document so that they can
20 understand how the dose reconstruction process works
21 and where these site profiles are critical in that
22 process. And asking those individuals, if they have

1 comments, we would like to receive those.

2 We also -- we're doing that both at the site level and
3 at the national level, so we're talking with the
4 national labor reps about our documents and what kind
5 of comments they might have on them. So that's --
6 that's, in a nutshell, where we're at with that. Jim
7 Neton might have more details tomorrow in his
8 presentation.

9 **DR. MELIUS:** One detail, if you have it already, is
10 there any -- a meeting scheduled out here for the
11 Mallinckrodt profile now that it's out? Are you at
12 that point yet? I'm...

13 **DR. NETON:** I will actually be discussing the
14 Mallinckrodt profile tomorrow morning as part of my
15 Technical Basis Document update, but we do not have a
16 general meeting to discuss the Mallinckrodt --
17 Mallinckrodt profile in the St. Louis area. It's
18 difficult to identify -- the facility, you know, is no
19 longer in business doing this operation, so it's
20 difficult to identify the organized labor
21 representatives, at least, that we would present this
22 to.

1 **DR. ZIEMER:** Any comment?

2 **DR. MELIUS:** I just find that hard to believe, that you
3 can't -- given even some of the comments we've heard
4 here today from various parties that have been involved
5 with this and are interested this. It seems to me that
6 pulling together a group of people that have -- with
7 knowledge of the facility and sort of representational
8 interest wouldn't be that difficult, and I certainly
9 would hope that you would do it. And if that could be
10 combined with some sort of a public availability
11 session to talk to -- address some of the kinds of
12 questions that came up today, I think it would be
13 helpful for everyone involved. I mean there's a lot of
14 confusion out there, as well as there's some questions
15 that have already been raised today in the -- what, the
16 two or three days since it's been publicly available.
17 And I don't think it would be that difficult to pull
18 together a -- various types of review meetings.

19 **DR. ZIEMER:** Thank you. Mike?

20 **MR. GIBSON:** And just to follow up on Jim's comment and
21 one I made last -- at the last meeting, wouldn't it be
22 more efficient to add workers that have been in the

1 field and been through these exposures to these site
2 profile teams while they're going on, rather than
3 showing them a finished product, and letting the people
4 put together the site profile that in some cases tried
5 to hide these exposures for years?

6 **DR. ZIEMER:** Is that a specific question to Jim or is
7 that a rhetorical --

8 **MR. GIBSON:** To -- to whoever's putting together the
9 site profile teams.

10 **DR. ZIEMER:** Okay. Jim, do you want to address that
11 now or you want to --

12 **DR. NETON:** I think I might want to defer to discuss
13 that tomorrow. I mean I am going to talk -- I have an
14 hour scheduled to go into those issues, unless you'd
15 like to go into it right now. But if it'd be okay, I'd
16 rather --

17 **MR. GIBSON:** No, that's fine --

18 **DR. NETON:** -- talk about it tomorrow.

19 **MR. GIBSON:** -- to talk about it tomorrow.

20 **DR. NETON:** Similar to what came up this morning, I
21 think.

22 **MR. HORGAN:** Larry, I don't want to knock anybody out

1 of time, I just want to make a quick question, if it's
2 okay.

3 **DR. ZIEMER:** Identify yourself, please.

4 **MR. HORGAN:** Tom Horgan, Senator Bond's office. Did I
5 just hear that we're not going to really talk about the
6 site profile for Mallinckrodt tomorrow? Is -- is -- we
7 are? Are we going to have a discussion where we can
8 get feedback from members of the Board, because I --
9 you know, with the -- working for the committee that
10 has legislative oversight -- the authorizing committee
11 that has legislative oversight of NIOSH, Health and
12 Human Services and the Department of Labor, that's one
13 of the main reasons I came in, to learn more about the
14 Mallinckrodt site. And you know, everything I've found
15 today has been very helpful. Some of it's a little
16 over my head, but it's been helpful and I appreciate
17 the procedures, but I sure hope we're going to have a
18 discussion about the site profile for the Mallinckrodt
19 site because -- where we can get feedback from the
20 Board because, you know, that's what -- from what I
21 understand, is what details the dose reconstruction
22 process for that particular site. So I sure hope we do

1 that. Okay?

2 **DR. ZIEMER:** I believe that's included in the schedule
3 tomorrow, yes.

4 **DR. MELIUS:** Yeah, but I think what we -- I was
5 referring --

6 **MR. HORGAN:** (Off microphone) (Inaudible) I was just a
7 little confused (Inaudible).

8 **DR. MELIUS:** What I was referring to was at the last
9 meeting we had a discussion of -- that there be, one --
10 one, which Mike mentioned -- involvement of people who
11 are -- have interest in the site or represent workers
12 at the sites in development of the profile.

13 Secondly, that once the -- the profile is approved or
14 whatever the process is, that there be a session where
15 people get a chance to meet and NIOSH to present the
16 profile, there'd be a review of the profile by people
17 with an interest and knowledge of the site to answer
18 some of these questions, and there may also be a -- a
19 good time, in association with that, for a meeting with
20 the general public, people with, you know, family
21 members, whatever, that -- affected by this program to
22 explain what's going on with the profile, where things

1 stand, as well as to answer some of their questions.

2 **MS. BROCK:** Could -- could I address that for just a
3 moment? Denise Brock again. Last week -- or actually
4 earlier this week the UAW, which oddly enough was the
5 union for Mallinckrodt -- years ago it was independent,
6 and then after that it became the UAW. There are
7 several retirees at that UAW. I had recently planned a
8 rally and had been -- more -- earlier than that had
9 actually been going to many of the building and
10 construction trades, and that's how I came in contact
11 with the UAW, had a meeting set up with them and had
12 actually sent one of my board members, as well as I
13 sent the Paducah resource center in to speak with these
14 retirees, let them know about the compensation program.

15 But we have much interest in this area. I spoke last
16 night at my rally. We have had very little publicity
17 in this area. Again, there were 3,300 direct
18 employees. We only have 400 claims filed. There are
19 numerous people out there that either worked there or
20 have survivors that are living that may possibly be
21 aware. But I think that the biggest wealth of our
22 information would come from our workers such as Jim

1 Mitulski, and I have several other workers and I was
2 just curious if perhaps if the site profile is done and
3 there is not time to -- to go over that with these
4 workers, if there's not some time in the very near
5 future, if we couldn't get some of these workers in
6 here to make comments or anything contributory to even
7 the site profile, to add to it. I know their -- their
8 stories are just amazing and their memories are, as
9 well. And it would be great if we could actually do
10 that tomorrow somehow. I just don't know how many
11 people we could get together in that short a time, but
12 I'd sure be willing to give it a try.

13 **DR. ZIEMER:** Comment from Larry.

14 **MR. ELLIOTT:** In Cincinnati in August at the Board
15 meeting, we heard individual comments, and we've
16 considered those comments. My response to Dr. Melius's
17 question a moment ago gave you the decisions that we
18 have made about how we're going to handle rolling out
19 these Technical Basis Documents or full site profile.
20 I don't want anybody confused. We are going to take
21 these documents out into the field and solicit comments
22 and input. We certainly -- I don't -- maybe there's

1 some confusion on Jim Neton's remarks a moment ago.
2 This is only the first step in the process to meet --
3 to the -- have this Board meeting in St. Louis to talk
4 about this recently-developed Technical Basis Document
5 or site profile for Mallinckrodt. You'll get a --
6 you'll get a brief introduction to that tomorrow and
7 welcome Board comment, welcome public comment on that.
8 It's not the final step, though. We will bring it
9 back. We are going to do this with all our Technical
10 Basis Documents. We heard the individual comment from
11 Mr. Gibson about putting workers on the site profile
12 teams. That is not a viable solution, so we've opted
13 for this, to go out and present these documents and
14 present examples of dose reconstruction, try to get
15 folks to understand how the documents are used and what
16 a dose reconstruction actually looks like, and take
17 their comments. We need comments to the written
18 record, though. And so that's what we have decided.
19 We've given due consideration to individual comments of
20 this Board, and we're proceeding along those lines.
21 **DR. MELIUS:** Well, Larry, I'm confused now 'cause Jim
22 Neton said there was no meeting out here. Now you're

1 saying there will be meetings? I --

2 **MR. ELLIOTT:** We don't -- your question was has a
3 meeting been scheduled, and Jim's comment was no, there
4 has not been a meeting scheduled as of yet. This Board
5 meeting is the first step in this process.

6 **DR. MELIUS:** So -- so there will be a meeting
7 scheduled?

8 **MR. ELLIOTT:** That's what I've been saying, we're going
9 to take --

10 **DR. MELIUS:** Well, I'm just trying to make sure.

11 **MR. ELLIOTT:** -- the site profiles into the field.

12 **DR. ZIEMER:** Thank you. Further comments? Board
13 members, any further comments this evening? Mike.

14 **MR. GIBSON:** With all due respect, it just -- it just
15 seems to me that, you know, the legislators felt it
16 necessary, the President that signed this bill felt it
17 necessary to establish this Board equally by doctors
18 and scientists and workers in the field. And it just -
19 - it appears to me that each step, where possible,
20 throughout the process, workers ought to be involved.
21 And I know workers don't understand all the scientific
22 jargon of dose reconstruction and everything else. But

1 they know when they were sent into a room and alarms
2 went off and the professionals in the room turned the
3 alarm up and told them to go back in, it was just
4 radon, when in fact it was actinium. Those things
5 ought to be considered. Those things aren't even --
6 haven't been brought to the table in the original
7 document, probably, because the people that turned the
8 dial up are the people that wrote the site profile.
9 And that just -- to me, that's just blatantly unfair.

10 **MR. ELLIOTT:** There is no argument with that, Mike. I
11 have no argument with that at all. I agree with you
12 100 percent, and there are mechanisms, there are points
13 along the process that we solicit that kind of
14 information. One of those steps is the interview
15 process. We've added with the site profile process
16 the opportunity, by making visits in the field,
17 organizing meetings, hearing people comment about them
18 and asking for written comment, that's another point in
19 the process to solicit the workers' input to this. I
20 value that. I've always valued that and I -- and I
21 think we have addressed the -- the ability to gain and
22 garner those thoughts and those perspectives in various

1 ways in the process. I don't argue with you. I just
2 want -- I hope you understand that we have tried to
3 bring the worker perspective to bear in more ways that
4 just workers sitting on this Advisory Board.

5 **DR. ZIEMER:** Thank you. Other comments?

6 (No responses)

7 **DR. ZIEMER:** Okay, it's time for us to recess for the
8 day. We will pick up again in the morning, as per the
9 agenda. Thank you all for your participation today.

10 We look forward to seeing you tomorrow.

11 You need to take your things with you. Don't leave
12 things in the room overnight. This will not be
13 necessarily secure.

14 (Whereupon, the meeting was adjourned at 5:00 p.m.)
15

1
2
3

C E R T I F I C

A T E

STATE OF GEORGIA)
)
COUNTY OF FULTON)

I, STEVEN RAY GREEN, being a Certified Merit Court Reporter in and for the State of Georgia, do hereby certify that the foregoing transcript was reduced to typewriting by me personally or under my direct supervision, and is a true, complete, and correct transcript of the aforesaid proceedings reported by me.

I further certify that I am not related to, employed by, counsel to, or attorney for any parties, attorneys, or counsel involved herein; nor am I financially interested in this matter.

WITNESS MY HAND AND OFFICIAL SEAL this _____ day of November, 2003.

STEVEN RAY GREEN, CVR-CM
GA CCR No. A-2102

